

128th COSMETIC INGREDIENT REVIEW EXPERT PANEL  
MEETING  
BREAKOUT SESSION

Washington, D.C.

Monday, September 9, 2013

## 1 PARTICIPANTS:

## 2 Voting Members:

3 DONALD V. BELSITO, M.D.  
4 Clinical Professor, Medicine (Dermatology)  
5 University of Missouri, Kansas City c/o American  
6 Dermatology Associates, LLC

7 DANIEL C. LIEBLER  
8 Director, Jim Ayers Institute for Precancer  
9 Detection and Diagnosis  
10 Ingram Professor of Cancer Research  
11 Professor of Biochemistry, Pharmacology and  
12 Biomedical Informatics

13 PAUL W. SNYDER, D.V.M., Ph.D.  
14 School of Veterinary Medicine  
15 Department of Veterinary Pathobiology  
16 Perdue University

## 17 Liaison Members:

18 CAROL EISENMANN, M.D.  
19 CIR Industry Liaison

20 JAY ANSELL, Ph.D.  
21 LINDA LORETZ, Ph.D., DABT  
22 Personal Care Products Council

23 KAPAL DEWAN  
24 Food and Drug Administration

## 25 Staff Members:

26 HALYNA P. BRESLAWEC  
27 Deputy Director

28 LILLIAN C. BECKER  
29 Scientific Analyst

30 IVAN BOYER, Ph.D.  
31 Senior Toxicologist

1 PARTICIPANTS (CONT'D):

2 MONICE FIUME  
Senior Scientific Analyst

3  
4 WILBUR JOHNSON, JR.  
Senior Scientific Analyst

5 CHRISTINA L. BURNETT  
Scientific Analyst

6  
7 LILLIAN J. GILL  
Deputy Director

8 BART HELDRETH, Ph.D.  
Chemist

9  
Other Attendees:

10  
11 BRIAN HUGHES  
Dow Chemical Company

12 DAVID GOLDSTEIN

13

14

15

16

17 \* \* \* \* \*

18

19

20

21

22

1 P R O C E E D I N G S

2 (8:45 a.m.)

3 DR. BELSITO: Okay, are we all set?

4 Everybody's computer is up?

5 DR. LIEBLER: At least you don't have to  
6 bother with all that encryption anymore.

7 DR. BELSITO: Why's that?

8 DR. LIEBLER: Just lay it out there.

9 It's already out there.

10 DR. BELSITO: Okay, so I guess we're  
11 doing methyl glucose first? Is that correct? So  
12 we issued a revised tentative report that the  
13 ingredients were safe in the present practice of  
14 use and concentration based upon Wave 2 data that  
15 we had gotten on a mixture of methyl glucose. Our  
16 concern was about oral toxicity, possible repro  
17 toxicity, in lipstick products. So we're looking  
18 at this to see if with the addition of that  
19 material we still agree. I had some minor edits,  
20 but don't really have any significant issues with  
21 the report. Dan? Paul?

22 DR. SNYDER: I agree.

1 DR. LIEBLER: I think it's in very good  
2 shape. I made a minor edit to the discussion  
3 about the wording of impact of methyl glucose on  
4 metabolism. And the proposed revision underlined  
5 in the beginning of the discussion, I was okay  
6 with that.

7 DR. BELSITO: That's the suggested  
8 revision that the Council had made? Is that what  
9 you're talking about?

10 DR. LIEBLER: Yes.

11 DR. BELSITO: In the discussion, yeah.

12 DR. LIEBLER: And it's in the actual  
13 discussion text underlined. So I had no problem  
14 with that.

15 DR. BELSITO: Yeah, I agreed. I thought  
16 it made the document stronger, particularly now  
17 that we have the oral and repro studies on the  
18 mixture. Paul?

19 DR. SNYDER: Yeah, I just had a change  
20 here in the revised and in the abstract.

21 DR. BELSITO: Okay.

22 DR. SNYDER: We're not really worried

1 about systemic toxicity. We're worried about  
2 systemic exposures. And so the abstract issue was  
3 related, too. It says, "No significant skin  
4 penetration."

5 DR. BELSITO: You're at the beginning of  
6 the document?

7 DR. SNYDER: Yeah, and it's linked here,  
8 the two issues are linked so to speak. So I  
9 revised the middle sentence there where it says --

10 DR. BELSITO: You're back in the  
11 abstract?

12 DR. SNYDER: In the abstract, yeah.  
13 Where it says, "After reviewing the data,  
14 including the molecular weights, log Kows and  
15 other properties of these ingredients, the  
16 Cosmetic Ingredient Review Panel determined that  
17 there likely would be no significant" and then  
18 instead of "skin penetration of these  
19 ingredients," I changed that to "systemic  
20 exposures from cosmetic use" because that's really  
21 the two things we're trying to bring in there,  
22 really systemic exposures and cosmetic use.

1 DR. LIEBLER: I could live with that.  
2 So you would say, "There would likely be no  
3 significant systemic exposure from cosmetic use of  
4 these ingredients."

5 DR. SNYDER: Yes.

6 DR. LIEBLER: That's good. That gets us  
7 away from how many molecules are  
8 dancing-on-the-head-of-a-pin argument about  
9 penetration.

10 DR. SNYDER: Yeah.

11 DR. BELSITO: So "no significant  
12 systemic exposures."

13 DR. SNYDER: "No significant systemic  
14 exposure from cosmetic use of these ingredients."  
15 And then just to make that consistent with that  
16 revised in the -- this comes up on several reports  
17 where we talk about toxicities, and I'm trying to  
18 move it away from the issue of toxicity then to  
19 exposure. So then in the discussion on the  
20 revised sentence there at the bottom of page --

21 DR. BELSITO: There are no page numbers,  
22 at least not on mine.

1 DR. SNYDER: Page 36.

2 DR. BELSITO: Page 35 of the document.

3 DR. SNYDER: So instead of "would not  
4 cause systemic toxicity" to "would not result in  
5 significant systemic exposures," or "result in  
6 systemic exposures."

7 DR. BELSITO: And "significant" or just  
8 "systemic exposures?"

9 DR. LIEBLER: You know I don't know that  
10 we have to actually make the same point with the  
11 exact same wording because this one actually --  
12 this sentence also refers to the toxicity data.  
13 So "molecular weights log K and toxicity data."

14 DR. BELSITO: That's true.

15 DR. LIEBLER: So I think the conclusion  
16 of that sentence probably should focus on  
17 toxicity.

18 The other edit I completely agree with  
19 and I think it's fine, the one in the abstract.  
20 And you've got in the sentence --

21 DR. SNYDER: But, again, I'd just exert  
22 caution in that we're implying there that toxicity

1 is an issue, and I don't think we really saw any  
2 significant toxicity.

3 DR. LIEBLER: Right.

4 DR. BELSITO: But we said that there is  
5 none. So Dan has a point because we have some tox  
6 endpoints now. So we'll stay with the Council's  
7 revision?

8 DR. SNYDER: Okay.

9 DR. BELSITO: And then on page 36 of the  
10 document -- not the document, page 36 of my.pdf, I  
11 don't have any document page numbers -- second  
12 line from the top you say, "structure-property  
13 relationships." Do you mean "structure activity  
14 relationships?"

15 MR. JOHNSON: Yes.

16 DR. SNYDER: Yup.

17 DR. BELSITO: So could we change that?

18 DR. SNYDER: So this has turned into  
19 mostly -- it's inconsistent in how it's written.  
20 And so I think we need to talk about that at some  
21 point about what we want that statement to say  
22 because I think every writer has a different

1 version that they're using.

2 DR. BELSITO: So what you want here is  
3 that we look at a boilerplate for read-across  
4 essentially?

5 DR. SNYDER: Well, the read-across  
6 strategy. And so I said we need consistent  
7 wording on read-across strategies.

8 DR. BELSITO: But are we going to  
9 accomplish that at this meeting? I don't think  
10 so.

11 DR. ANSELL: No, the boilerplate --

12 DR. BELSITO: But I think it should be  
13 -- we can bring it up when we do this report or  
14 the first report that we do, which will be --

15 DR. ANSELL: Make a request to staff to  
16 come forward with -- as they have with botanicals  
17 -- come forward with some draft.

18 DR. SNYDER: Well, we can talk about it  
19 when we get to isethionates.

20 DR. BELSITO: Isethionates, which would  
21 be the first one.

22 DR. SNYDER: Because I think the

1 abstract also needs to be brought up, too. The  
2 abstracts are written very differently. Some have  
3 more data in them. Some have no data in them.  
4 Some start off with this is a safety assessment,  
5 and they're quite different in how they're  
6 constructed. And I thought we'd agreed previously  
7 that we were going to have -- what was reviewed,  
8 what was found, and what was the conclusion -- a  
9 very simple abstract, and we seem to have drifted  
10 away from that.

11 DR. BELSITO: Okay, so when we get to  
12 isethionates, we'll discuss abstracts and  
13 boilerplate or read-across from boilerplate.

14 Going along on page 36 of the document  
15 -- this is in the second paragraph where we're  
16 talking about lipsticks -- it says "the use  
17 concentrations reported (up to 1 percent) are  
18 considered low to the extent that it is unlikely  
19 that systemic toxicity would result from repeated  
20 ingestion." That to me sounds a little like we're  
21 waffling, but it's unlikely. Do we want to make  
22 that statement stronger or is everyone happy with

1 that? It's sort of like oh, this is safe because  
2 it's unlikely.

3 DR. SNYDER: There's another report that  
4 also has a similar issue. Some reports have too  
5 many "unlikelys" and too many "maybes" or  
6 "possiblys." And so I think we as a Panel need to  
7 be a little bit more firm in our --

8 DR. LIEBLER: Oh, I think we could use  
9 much more direct language here in this particular  
10 sentence and to simply say "to the extent that  
11 systemic toxicity would not result."

12 DR. BELSITO: Okay.

13 DR. SNYDER: Where are we?

14 DR. BELSITO: It's in the second  
15 paragraph where we're talking about the use in  
16 lipsticks and that we "relied on the isostearic  
17 acid esters with methyl alpha-D glucoside to  
18 support safety based upon the oral and lack of  
19 reproductive effects." And then we go on to say  
20 "The use concentrations reported are low to the  
21 extent that it is unlikely." But I agree. I like  
22 Dan's statement: "To the extent that systemic

1 toxicity would not result."

2 DR. LIEBLER: Right.

3 DR. ANSELL: Probably "such that"  
4 instead of "to the extent."

5 DR. SNYDER: I'd revise that sentence to  
6 say "The use in concentration reported (up to 1  
7 percent) are considered low to the extent it is  
8 unlikely that significant systemic exposure would  
9 result from repeated ingestion."

10 DR. BELSITO: You could do that, but I  
11 said I like what Dan said.

12 DR. LIEBLER: I think the issue is  
13 toxicity, whether there's toxicity or not. I mean  
14 exposure isn't necessarily a surrogate for  
15 toxicity. This is related to the point we just  
16 discussed in the other sentence about couching our  
17 conclusion that contact to the exposure versus  
18 toxicity or safety basically. So that's why I  
19 think I would rather stick to the toxicity  
20 language.

21 DR. SNYDER: So we just change it to  
22 "extent --

1 DR. BELSITO: "To the extent --

2 DR. LIEBLER: And actually "significant

3 --

4 DR. SNYDER: "Systemic toxicity."

5 DR. LIEBLER: Yeah, and Jay just made a  
6 suggestion that I like -- "are considered low such  
7 that systemic toxicity would not result from  
8 repeated ingestion" and that saves a half a  
9 sentence.

10 DR. BELSITO: "Such that systemic  
11 toxicity would not result from repeated  
12 ingestion."

13 DR. ANSELL: That may be a double  
14 negative.

15 DR. BELSITO: "Use concentrations  
16 reported up to 1 percent are considered low such  
17 that systemic toxicity would not result from  
18 repeated ingestion."

19 DR. ANSELL: Right, except right now  
20 it's written as "unlikely" that it would.

21 DR. BELSITO: Right, but we got rid of  
22 that.

1 DR. ANSELL: That's right.

2 DR. BELSITO: "Such that systemic  
3 toxicity."

4 DR. SNYDER: "Repeated ingestion."  
5 We've always got to tie it to cosmetic use. You  
6 certainly could ingest enough.

7 DR. BELSITO: "Would not result from  
8 cosmetic use in lipsticks" if we really want to be  
9 --

10 DR. SNYDER: I think we have to.

11 DR. BELSITO: Okay.

12 DR. LIEBLER: You couldn't afford to buy  
13 enough to make you sick.

14 DR. BELSITO: So is it expensive?

15 DR. LIEBLER: I'm assuming.

16 MR. JOHNSON: Dr. Belsito, so you're  
17 replacing "repeated ingestion" with "cosmetic use  
18 in lipsticks."

19 DR. BELSITO: Let me just pop up the  
20 thing if I can. Yes, from "cosmetic use in  
21 lipsticks."

22 MR. JOHNSON: Okay, thank you.

1 DR. BELSITO: And then in the next  
2 paragraph, again I think we're sort of very weak  
3 in our statement in the third, fourth, fifth line  
4 down. It says "Overall, therefore, any impact of  
5 dermal application of these ingredients on glucose  
6 metabolism would be very unlikely."

7 DR. SNYDER: So I completely revised  
8 that up to the previous sentence. "The potential  
9 for complete deesterification of these ingredients  
10 to produce methyl glucose was considered  
11 insignificant and, therefore, not interfere with  
12 glucose metabolism." I think it was just a little  
13 --

14 DR. BELSITO: So get rid of "Overall,  
15 therefore, any impact."

16 DR. SNYDER: Yeah.

17 DR. BELSITO: Okay. So could you repeat  
18 that?

19 DR. SNYDER: Yes. So after  
20 "insignificant and, therefore, not interfere with  
21 glucose metabolism."

22 DR. BELSITO: So rather than going

1 through this whole convoluted explanation, it's to  
2 the point, sweet and to the point. Did you get  
3 that, Wilbur?

4 MR. JOHNSON: Yes, I did.

5 DR. BELSITO: For the conclusion,  
6 Wilbur, you say "The CIR Expert Panel concluded  
7 that these cosmetic ingredients." I thought that  
8 we should say "The following methyl glucose  
9 polyethers and esters" so that it's right up in  
10 the sentence what the family name of this grouping  
11 is. And then I just had some minor typos. Dan?  
12 Paul?

13 DR. LIEBLER: I have one suggestion,  
14 Wilbur, where the -- I think it's on.pdf page 42.  
15 It's one of the structures in the table. It's the  
16 PEG-120 methyl glucose dioleate. It's a pasted-in  
17 structure from another file. You actually  
18 indicate it's from the Chemical Abstract Service  
19 Registry file. I suggest we just adopt the same  
20 representation for all the structures. So this  
21 one -- if these others were drawn in, then this  
22 one just needs to be drawn in with the same

1 software so that these structures have a uniform  
2 appearance in the document.

3 MR. JOHNSON: And that's the PEG-120  
4 methyl glucose dioleate?

5 DR. LIEBLER: Yes, the one that looks  
6 different. I'll have the same comment on almost  
7 all the reports.

8 DR. BELSITO: Yeah, there was one where  
9 it suddenly went blurry.

10 DR. LIEBLER: Right. That's all  
11 fixable.

12 DR. BELSITO: Maybe for you, not for me.  
13 Paul, anything else?

14 DR. SNYDER: No, I'm good.

15 DR. BELSITO: So polyquaternium-22 and  
16 -39. This is another one that we went safe in  
17 present practice of use and concentration in  
18 cosmetics back in June. We talked about the  
19 absence of animal and human sensitization data for  
20 the -22 that concluded that they're large and they  
21 would not likely get past the stratum corneum. We  
22 were concerned about contaminants, and we got

1 information regarding the acrylamide monomer,  
2 which is not in -22 and that the -39 contains less  
3 than 1 part per million. So that's where we are.  
4 We didn't think it would be a problem because of  
5 the concentration of use of polyquat-39. So  
6 pretty much all the data that we had is here, and  
7 the question is are you all satisfied with the  
8 discussion and the conclusion as written? I had  
9 no significant edits here.

10 DR. SNYDER: I just had one minor edit  
11 in the discussion.

12 DR. BELSITO: Okay. What page? Give us  
13 the page.

14 DR. SNYDER: It's in the beginning of  
15 the discussion.

16 DR. LIEBLER: It's.pdf page 19.

17 DR. SNYDER: The sentence in the middle  
18 of the second paragraph begins with "However."  
19 "However, it was concluded" not agreed "that these  
20 polymers are large, highly polar molecules that  
21 would not be absorbed and based on oral exposure  
22 studies, the systemic toxicity is not likely from

1 a cosmetic use."

2 DR. BELSITO: So delete "agreed" and put  
3 in "concluded."

4 DR. SNYDER: It's a little more  
5 scientific term.

6 DR. LIEBLER: So you could also say  
7 "However, these polymers are large" and avoid the  
8 prepositional phrase altogether.

9 DR. BELSITO: So you're saying,  
10 "However, these polymers are large."

11 DR. LIEBLER: Yes.

12 DR. BELSITO: "Highly polar molecules  
13 would not be absorbed and based upon acute oral  
14 exposure studies" -- so how do we -- "However,  
15 these polymers are large, highly polar molecules  
16 that would not be absorbed and systemic toxicity  
17 is not likely."

18 DR. SNYDER: "Under cosmetic use."

19 DR. BELSITO: So we're getting rid of  
20 "based upon acute oral exposure studies," and just  
21 say "absorbed, and systemic toxicity is not  
22 likely."

1 DR. SNYDER: Well, they're all okay  
2 because the oral helps not absorb.

3 DR. BELSITO: "And, based upon acute  
4 oral exposure studies, systemic toxicity."

5 DR. SNYDER: "Is not likely in cosmetic  
6 use."

7 DR. BELSITO: But, again, that sounded  
8 weak. Isn't "is not likely?"

9 DR. SNYDER: Yeah, I don't like those  
10 "not likelys," yeah.

11 DR. BELSITO: "Systemic toxicity from --

12 DR. SNYDER: That's why I think there's  
13 -- I just like the exposure thing because then  
14 there's potential for systemic exposure.

15 DR. ANSELL: I like the exposure  
16 discussion, but in many cases we're not actually  
17 talking about systemic exposure. We're actually  
18 concluding that there's no structural alerts.  
19 There's sufficient data to conclude that the  
20 material -- and then the final part is whether you  
21 say it's not of concern. I think the FDA often  
22 says that. Unlikely is probably more equivocal,

1       equivocating.

2                   DR. SNYDER: "Systemic toxicity from  
3       cosmetic use is not a concern."

4                   DR. BELSITO: "Systemic toxicity from  
5       cosmetic use is not a concern."

6                   DR. SNYDER: That's good.

7                   DR. BELSITO: Okay, any other edits?

8                   DR. LIEBLER: The last sentence of the  
9       first paragraph of the discussion. It currently  
10       reads "Therefore the Expert Panel considered these  
11       unreacted monomers to be low and of no  
12       toxicological concern given the low use  
13       concentrations for these ingredients." So I  
14       reworded that to "Therefore the Expert Panel  
15       considered these unreacted monomers to be present  
16       at levels that would not cause toxicity given the  
17       low use concentrations."

18                   DR. BELSITO: So "considered these  
19       unreacted monomers to be --

20                   DR. LIEBLER: "Present at levels that  
21       would not cause toxicity."

22                   DR. BELSITO: "Given the low use

1 concentrations."

2 DR. LIEBLER: Correct.

3 DR. BELSITO: "To be present at levels  
4 that would not cause toxicity."

5 DR. LIEBLER: Correct.

6 DR. BELSITO: Any others?

7 DR. SNYDER: Not from me.

8 DR. BELSITO: So chamomile, by which we  
9 mean *matricaria recutita*, not the Roman chamomile.  
10 I guess the first comment that -- well, just to  
11 tell you where we are with this. I can't find it  
12 in my report. But basically we looked at this in  
13 June and it was lumped with *anthemis nobilis*, and  
14 we said wait a minute. These are two different  
15 plants, and we need to separate them out and look  
16 at them separately. So that's what we did. For  
17 the *chamomilla recutita*, we asked for  
18 sensitization at 10 percent and composition of  
19 ingredients other than the oil and sensitization  
20 and irritation data at the highest level of use.  
21 So we've gotten some new unpublished skin  
22 irritation and sensitization data. We've updated

1 the use concentration data. We also said, wait a  
2 minute. This chamomilla recutita has a lot of  
3 bisabolol in it, and let's look at our safety  
4 report on that. So that's where we are here.

5 I guess my first comment on this report  
6 is first of all, kamillosan is referenced in both  
7 this report and in the anthemis nobilis report.  
8 In the anthemis nobilis report, it's said to  
9 contain 10.5 percent anthemis nobilis. And then  
10 it starts showing up in this report. So I don't  
11 think it's both. So we need to clarify it. I  
12 mean my reading of the anthemis nobilis report  
13 suggests that kamillosan is probably anthemis  
14 nobilis; however, having said that, my  
15 understanding of the alternative medical use for  
16 "chamomile," namely chamomile tea and all of these  
17 topical and nutritional supplements that are  
18 available in Europe, are actually chamomilla  
19 recutita; that when people talk about chamomile  
20 for botanical drug use, that's the species they're  
21 referring to. Having said that, I agree when  
22 we're -- if we're going to put data in where we're

1 not sure, where it just says "an extract of  
2 chamomile" or "a chamomile tea was applied to  
3 tinctures to the eyes of reproducing  
4 conjunctivitis," either it shouldn't go in either  
5 report or it may be should go in both reports and  
6 say we're just not sure whether this is matricaria  
7 or chamomilla recutita or it's anthemis nobilis.  
8 But kamillosan definitely has to be one or the  
9 other, and we should be able to see that from the  
10 manufacturer.

11 DR. SNYDER: I agree. I had the same  
12 issue with regard to the studies in which we don't  
13 know which species was used in the testing. So it  
14 either has to go in both reports or it has to be  
15 deleted from both reports.

16 DR. BELSITO: Right now it's in both  
17 reports pretty much, and that may be okay because  
18 by and large they sort of add to support safety of  
19 one or the other. But I also think maybe when  
20 we're putting them in, somehow there should be a  
21 subheading that says "Chamomile Species Unknown"  
22 or something so we separate it out and it's a weak

1 component of that part of the document, if we're  
2 going to keep it in.

3 MR. JOHNSON: Dr. Belsito, what  
4 particular study are you referring to with the  
5 kamillosan?

6 DR. BELSITO: Just put "find  
7 kamillosan." You'll find it in both the anthemis  
8 nobilis documents where at one point -- I mean  
9 when we get to the anthemis nobilis, you'll see  
10 it, but it says that kamillosan (10.5 percent). I  
11 can look it up right now actually. Let me just  
12 close out of here and go to anthemis nobilis.  
13 Kamillosan is with two "ls," right? So on  
14 document 25, not the page number but the document,  
15 but 25 of the.pdf, the second paragraph. On  
16 anthemis nobilis it says "kamillosan ointment  
17 (containing extracts and oil of anthemis nobilis  
18 10.5 percent) to treat cracked nipples." So  
19 that's in the anthemis nobilis report. And then  
20 in the chamomile report, again you have -- well, I  
21 thought I saw kamillosan here.

22 MR. JOHNSON: Actually it's right before

1 the use section, the paragraph immediately above  
2 that.

3 DR. BELSITO: Oh, I just misspelled it  
4 and that's why I didn't find it. Yeah, so just  
5 above it says "kamillosan, an alcoholic extract of  
6 chamomile flowers that contains 100 milligrams of  
7 chamomilla recutita." I mean maybe it contains  
8 both, but I think we need to verify that. It  
9 seems to me to be strange that it would be 10.5  
10 percent anthemis nobilis and 150 milligrams of  
11 chamomilla recutita. Maybe it is, I don't know,  
12 but it was just strange that it appeared in both  
13 reports.

14 And then under Non-Cosmetic Use you talk  
15 about "Kamillobad and mouth sprays, Kamillosan M  
16 spray, containing chamomile extracts." So I think  
17 you need to get the MSDSs or whatever for those  
18 products and check them again and make sure that  
19 they're in the proper report. It comes up in case  
20 reports also in kamillosan.

21 I think, again, my understanding is that  
22 the alternative medical uses for chamomile are all

1 matricaria or chamomilla recutita, not anthemis  
2 nobilis, but I may be wrong.

3 DR. SNYDER: So going back to chamomilla  
4 recutita, we're still insufficient there.

5 DR. BELSITO: Okay, let me go back  
6 through the whole thing. Go ahead, Paul, while I  
7 go back through that document.

8 DR. SNYDER: Well, we had previously  
9 gone -- in June we had gone insufficient for skin  
10 irritation and sensitization with the flower  
11 extract at 10 percent, and we received it at .3  
12 and .2 -- irritation at .3 and sensitization at .2.  
13 And then we did get another -- on the second Wave  
14 we got an eye lotion at .4 percent with flower  
15 extract on 100 individuals. So we're still --

16 DR. LIEBLER: So my question is was the  
17 10 percent real? That's so high.

18 MR. JOHNSON: No.

19 DR. SNYDER: It's at 0.5.

20 DR. ANSELL: It's been corrected.

21 DR. LIEBLER: Oh, okay, I didn't see  
22 that anywhere.

1 MR. JOHNSON: Yeah, it's 0.5.

2 DR. SNYDER: Is the highest use now?

3 MR. JOHNSON: Yes.

4 DR. ANSELL: The new maximum is 0.5  
5 flower extract in lipstick.

6 DR. BELSITO: Well, I thought, though,  
7 it was -- well, first of all, again, in the  
8 introduction, we need to list what we're  
9 reviewing. It says "ingredients as used (German  
10 chamomile)," "information relevant to verify 11  
11 chamomile ingredients as used in cosmetics." I  
12 think we need to list the ingredients right up  
13 front that we're discussing because it's not in  
14 the title. And it's like you start the document,  
15 you really don't know what the heck you're going  
16 to be looking at.

17 DR. SNYDER: This one has the missing  
18 abstract.

19 DR. BELSITO: Yeah, it's missing an  
20 abstract as well, but I mean that's because it's  
21 really the first time we're looking at it when an  
22 abstract hasn't been generated. But I think as a

1 matter of just boilerplate, except when it's 5  
2 million products like the PEG-PPG document where  
3 you can just reference Table 1 or some table in  
4 the document, I think what we're reviewing when  
5 it's only five or six or seven ingredients should  
6 be listed up front in the discussion -- I mean in  
7 the introduction.

8 I also think that it remains  
9 insufficient, but not for sensitization and  
10 irritation. I think we have all the information  
11 on the flower ingredients, and we have no  
12 information on the plant and stem and really whole  
13 extract, and they're not really used in cosmetics.  
14 If you look at what's used, probably because the  
15 plant and the stem of chamomile are not used in  
16 cosmetics. It's the flowers that are used. But I  
17 don't think that we can rule on the safety of the  
18 plant stem because when you look, we have no  
19 composition data and there's maybe one or two  
20 studies that just say "chamomilla recutita  
21 extract," which I would suspect is the flower  
22 extract, but we don't know what the heck it's an

1 extract of.

2                   So I would say that all of the flower  
3 ingredients, which are most of them, and I think  
4 pretty much all of them that are reported to be in  
5 use are safe. But anything from the stem and the  
6 leaf is insufficient, at least at this time, for  
7 what is the chemical composition of a matricaria  
8 recutita stem and leaf.

9                   DR. LIEBLER: So what's really missing  
10 is the organics.

11                   DR. BELSITO: Yeah.

12                   DR. LIEBLER: If you look at Table 5,  
13 you've got very spotty coverage of the organics.  
14 You've got the apigenin under chamomilla recutita  
15 flower extract and then nothing until you get to  
16 caffeic acid whereas you've got pretty extensive  
17 data on the flower oil.

18                   DR. SNYDER: What about the azulene  
19 issue also, Don?

20                   DR. BELSITO: I think that is going to  
21 be addressed by the botanical boilerplate. And I  
22 think, again, it's present in low amounts and the

1 concentrations used are low. It's .5 maximum  
2 leave-on, is that correct?

3 DR. SNYDER: .4, yeah.

4 DR. BELSITO: .4.

5 DR. LIEBLER: So, Don, now that the  
6 maximum use concentration is down to a half a  
7 percent, you're okay with the irritation and  
8 sensitization data that we have in hand? We're  
9 not quite that high, but we're close?

10 DR. BELSITO: Yeah, I mean I think that  
11 what you're seeing here are -- it gets very  
12 confusing because people -- a lot of the case  
13 reports are people who are sensitive to  
14 compositae, which chamomilla recutita is a member  
15 of that genus of families. So they patch-test  
16 positive to compositae mix. Then they bring them  
17 back and they are patching them to various species  
18 of the compositae plant, and so you're seeing  
19 positive reactions there.

20 Again, in Europe these are used as  
21 alternative medicines, if that's the correct word.  
22 So you see a lot of products like kamillosan,

1       which are containing higher levels of this  
2       ingredient than you would find in a cosmetic  
3       product. And they're being put on damaged skin,  
4       which is why people are using them, and then  
5       you're seeing some sensitization come out. But I  
6       think in the animal studies and the naïve studies,  
7       I think we're fine.

8                     DR. LIEBLER: Okay.

9                     DR. BELSITO: Is there one particular  
10       study or is it just the bulk of case reports?

11                    DR. LIEBLER: No, no. I wanted to get  
12       your impression of this because until just a few  
13       minutes ago, I thought well, we're nowhere close  
14       to 10 percent. We're still insufficient on  
15       irritation and sensitization. Now I think we're  
16       close, and I wanted to get your reaction to it.

17                    DR. SNYDER: So where are we on the use  
18       concentration because the report now says -- I  
19       mean I'm reading here and now it says "any  
20       concentrations up to 1.2 percent of the flower."  
21       So where are we getting this .5 percent number that  
22       was --

1 DR. ANSELL: From our -- no, it didn't  
2 get into the report, but Carol's reporting now the  
3 new maximum is 0.5 flower extraction in lipstick  
4 products. The report is not correct when it  
5 states the maximum use concentration is 1.2  
6 percent of the flower. The 1.2 percent should be  
7 0.5 percent. And in Wave 2 and HRIPT it's 0.4  
8 percent.

9 DR. SNYDER: Yeah, that's the one I  
10 referred to.

11 DR. BELSITO: So in the use --

12 DR. SNYDER: The Cosmetic Use section.

13 DR. BELSITO: So it's the flower extract  
14 -- no, it's the flower or --

15 DR. SNYDER: This says "the flower."

16 DR. BELSITO: Right.

17 DR. SNYDER: In the report.

18 DR. BELSITO: It says, "Hair  
19 Non-Coloring 1.2."

20 DR. ANSELL: And that should be 0.5.

21 DR. BELSITO: And that should be 0.5. And  
22 then lipstick?

1 DR. ANSELL: Similarly,.5.

2 DR. BELSITO: For the flower? We don't  
3 have a lipstick use.

4 DR. ANSELL: Flower extract.

5 DR. BELSITO: Flower extract.

6 Incidental ingestion, that is.5. I think the.4 --  
7 so the highest reported use is going to be.5.  
8 There's a.61 for dermal contact for the extract.  
9 But, again, the extract has a total of six  
10 reported uses. Everything else is flower, flower  
11 extract, flower/leaf extract, flower oil, flower  
12 water. The flower/leaf extract is probably going  
13 to be the biggest issue because there's 349 uses.  
14 But, again, we don't know what's in the leaf. If  
15 you look, the flower is very well defined. For  
16 the chemical composition you've got the extract,  
17 flower oil, and flower. And if you scroll down  
18 the extract there's not a lot there. Caffeic  
19 acid, apigenin, apigenin-7-glucoside --

20 DR. LIEBLER: Yeah, it's very spotty.

21 DR. BELSITO: It's extremely spotty.

22 Obviously, there's a lot more in there that we

1 don't know. So I don't know how we can say  
2 because the composition of the flower and the  
3 composition of the rest of the plant is more than  
4 likely I think very different in this case.

5 DR. LIEBLER: Well, we just don't know.

6 DR. BELSITO: Right.

7 DR. LIEBLER: I mean we just don't know,  
8 so I think as part of our due diligence to ask for  
9 the data.

10 DR. BELSITO: So this was the first time  
11 we're seeing the report, so I would say the flower  
12 ingredients -- the flower oil, the flower extract,  
13 everything that is derived from the flower -- is  
14 safe as used. And anything derived from the full  
15 plant -- the leaf, the stem -- is insufficient at  
16 this point for chemical composition.

17 So, Dan -- in your draft discussion,  
18 Wilbur, in the last sentence, I said "The Panel  
19 agreed that, given the current use concentrations  
20 to the ingredients derived from chamomilla  
21 recutita flowers, these components" not "should,"  
22 but "would be present at levels that are below the

1 threshold of toxicological concern. The safety of  
2 ingredients derived from c-recutita leaf and stem  
3 are insufficient for chemical composition." Paul?

4 DR. SNYDER: So this issue was also  
5 brought up by the Council. I think we're using  
6 the threshold of toxicological concern a little  
7 too loosely. I think that is a very defined  
8 threshold. I can think of many instances -- I  
9 understand what we're trying to say, but I think  
10 we're using it inappropriately. So I think we  
11 have to be cautious about that.

12 DR. ANSELL: And that is our concern as  
13 well, that TTC as it's used, capital T-T-C, is a  
14 very defined process. What we really mean in  
15 these cases is not the toxicologic concern, but  
16 we're not concerned at the levels they're present.  
17 And so conceptually what we find, we just don't  
18 like that specific terminology. Just turning it  
19 to TCT would be okay.

20 DR. BELSITO: Toxicologic concern  
21 threshold?

22 DR. ANSELL: No, we're just not --

1 DR. LIEBLER: No, that would be. So I  
2 think the suggestion after I read the memo from  
3 Council and I basically agree with the point is  
4 that by throwing the term "threshold of  
5 toxicologic concern," we're using that as a  
6 shorthand for a more specific statement about our  
7 conclusion. So I agree that in cases where we can  
8 say "below the levels likely to cause  
9 sensitization," "below the levels likely to cause  
10 irritation," et cetera, so that we could be a  
11 little bit more specific in our language rather  
12 than just laying down the TTC card every time.

13 DR. BELSITO: So are you making an  
14 editorial change someplace, Dan?

15 DR. LIEBLER: Well, "The Panel concluded  
16 that these components are not present at levels of  
17 concern." We're saying the same thing. We're  
18 just using --

19 DR. BELSITO: What page are you on?

20 DR. LIEBLER: Under the draft  
21 discussion.

22 DR. GILL: Let me clarify something. I

1 think I heard Linda say "below the levels of  
2 toxicologic concern." Is that getting back into  
3 the language the Council had and the Panel is  
4 uncomfortable with, or should we stick to  
5 something more along the lines of what Dan just  
6 mentioned, the low levels that could cause  
7 concern?

8 DR. LORETZ: I mean our specific concern  
9 was that those were --

10 DR. GILL: So we sort of steer away from  
11 "threshold."

12 DR. LORETZ: Right, right.

13 DR. GILL: Because I think below the  
14 levels of toxicologic concern include --

15 DR. BELSITO: So just below "any" level  
16 of toxicologic concern or "the" levels? I mean  
17 what is -- because there are a number of  
18 toxicologic endpoints. I guess what conveys best  
19 is for each and any toxicologic endpoint that you  
20 would be concerned about because in some cases  
21 we're talking about genotox and in other cases  
22 we're talking about sensitization that are below

1       --

2                   DR. SNYDER:  So "The Panel concluded  
3       these components are present at levels that are  
4       below --

5                   DR. BELSITO:  "Would be present at  
6       levels below --

7                   DR. GILL:  Or "not present at levels of  
8       toxicologic concern."

9                   DR. LIEBLER:  I think the problem with  
10       this sentence is that this is a draft discussion.  
11       These are sort of bullet points that would be  
12       included.  This isn't the actual, probably the  
13       actual language we will have in the discussion  
14       when we review the document next time.

15                   So let me just make a point.  You've got  
16       compounds lumped in here that may produce  
17       sensitization, others that have insecticidal  
18       activity, and some maybe genotoxic or  
19       carcinogenetic.  So these are different endpoints.  
20       And I think rather than just lump them together  
21       and say "below the threshold of toxicological  
22       concern," we perhaps deal with each of the

1 concerns and rephrase as "below the levels likely  
2 to produce any risk of genotoxicity," "below the  
3 levels likely to produce sensitization," et  
4 cetera. So when they develop the discussion, you  
5 refer to the compounds of interest with respect to  
6 the endpoints that we're concerned about.

7 So I think the Council's point is in a  
8 draft discussion like this and not end up as more  
9 final language. But this would be inappropriate  
10 to have this whole laundry list of compounds and  
11 different effects and then just say "below the  
12 levels of toxicological concern" for all of them.

13 Jay, am I reading your message right?

14 DR. ANSELL: Well, that's it. It's like  
15 the Xerox people. It's okay to make a photocopy,  
16 just don't call it a Xerox. The language we  
17 agreed to in the last report was not of concern.  
18 I think that would work here.

19 DR. SNYDER: I agree. I don't  
20 understand what you're saying, Dan, but I think  
21 then it would become a huge discussion that would  
22 have to be updated.

1 DR. LIEBLER: Well, it doesn't have to  
2 be.

3 DR. SNYDER: To go through all the  
4 genotox, every little thing. We're just saying  
5 that we see the components of these ingredients,  
6 and then we just concluded "these components are  
7 not at levels of toxicologic concern in  
8 cosmetics."

9 DR. ANSELL: Right. "At these levels  
10 they are not of concern."

11 DR. SNYDER: "In cosmetics." Always "in  
12 cosmetics."

13 DR. BELSITO: Well, I think that as with  
14 the issues that we were discussing about having  
15 frameworks for abstracts and a boilerplate, we'll  
16 get to that when we discuss the botanical  
17 ingredients.

18 DR. ANSELL: We can come back to this.

19 DR. BELSITO: Unfortunately, I don't  
20 think that that is until the end of our session  
21 today. So I think we can just highlight the draft  
22 discussion and say it will have to be worded

1 according to whatever boilerplate we come up on.

2 DR. LIEBLER: Right. I think trying to  
3 wordsmith this now at this point is a waste of  
4 time.

5 DR. BELSITO: So we'll go to the  
6 boilerplate for botanicals, but do we all agree  
7 that the flower ingredients are safe, but anything  
8 from the plant -- the stem, the leaf -- is unsafe  
9 until we have composition?

10 DR. SNYDER: I just want to go back to  
11 -- Wilbur has separated out very clearly what we  
12 had data for and what was used, and I'd like to go  
13 back to that because --

14 DR. BELSITO: You're talking about the  
15 little roadmap?

16 DR. SNYDER: Yeah, this here.

17 DR. BELSITO: If you look at basically  
18 the uses, the only one that has got a large number  
19 of uses is flower/stem or leaf, I forget which,  
20 and that's Table 6. You've got six reported uses  
21 for what's just called the extract and then you  
22 have mostly flower. The only other one is what's

1 listed as a flower/leaf and that's a huge number,  
2 349. I mean I suppose we could finesse that  
3 because the highest use is .02, and we know what's  
4 in the flower. But if we finesse that, then -- I  
5 suppose we could argue that we're not finessing  
6 the extract because it's up to .61 on mucous  
7 membranes. But I think -- this is really the  
8 first time we're seeing the document. I would say  
9 the flower ingredients are safe, and for the stem  
10 and leaf and the whole extract we need available  
11 composition data.

12 MR. JOHNSON: But Dr. Belsito, this  
13 isn't actually the first time because the last  
14 time the anthemis nobilis and chamomilla recutita  
15 ingredients were in one report. So we separated  
16 --

17 DR. BELSITO: No, I understand, but it's  
18 the first time we're seeing the report as  
19 chamomilla recutita.

20 MR. JOHNSON: Yes.

21 DR. BELSITO: So my understanding is  
22 this is almost like it's the first time we're

1       seeing it. Is that not correct? I mean there was  
2       no decision made -- I guess we did issue some  
3       insufficiencies last time --

4                 DR. ANSELL: Which were addressed.

5                 DR. BELSITO: Yeah, so I have no clue  
6       how you consider this document that we're seeing.  
7       But I think if this is going out as -- it will go  
8       out as an insufficient whether it's a pink, a  
9       green, a final, I don't care.

10                DR. LIEBLER: So the insufficiency -- if  
11       the insufficiencies were for irritation,  
12       sensitization, concentration of use, and for  
13       composition, we may be okay on irritation,  
14       sensitization, and concentration of use. But  
15       we're definitely not okay on composition.

16                DR. BELSITO: Yes.

17                DR. LIEBLER: So we're still  
18       insufficient on that key point.

19                DR. BELSITO: Yeah, unless you would  
20       like something of that.6 for the extract because  
21       we have.4 on the lipstick right now.

22                DR. LIEBLER: Right, and we have -- Jay

1 just indicated.5, but I don't know what that was  
2 for. He said that that was the latest  
3 information.

4 DR. BELSITO: .5 is the Hair  
5 Non-Coloring.

6 DR. LIEBLER: Hair and Non-Coloring,  
7 okay.

8 DR. BELSITO: So it reduced the highest  
9 use concentration down to now.61 for the extract  
10 and.5 for the flower ingredients.

11 DR. LIEBLER: So are we still short of  
12 sufficient on sensitization?

13 DR. BELSITO: We have an HRIPT on 104  
14 patients at.4 percent. And I think that, given  
15 the fairly widespread use of botanical products  
16 particularly in Europe that are actually marketed  
17 as over-the-counter drugs, if that word is  
18 appropriate to the European market and the limited  
19 number of reports that we're seeing and the  
20 literature, I think it's okay.

21 DR. LIEBLER: So you think we're close  
22 enough.

1 DR. BELSITO: Well, if you want to be  
2 hardnosed, we could ask for --

3 DR. LIEBLER: No, no. This is why I  
4 asked the question, because I defer to your  
5 judgment on this. If you feel that that's close  
6 enough and you have a better sense of whether  
7 reports are in the literature on adverse reactions  
8 to these as used as over-the-counter drugs, that  
9 would change my thinking. But if we're not in an  
10 area where we really have some concern, then I'm  
11 fine with what we have there. So as far as I'm  
12 concerned, the insufficiency boils down now to  
13 composition.

14 DR. BELSITO: Right.

15 DR. ANSELL: So we provided a study on  
16 the assessment of plant herbs or extracts and  
17 their components.

18 DR. GILL: This was in Wave 2 data?

19 DR. ANSELL: Yeah.

20 DR. BELSITO: Where was this? It was in  
21 Wave 2?

22 DR. SNYDER: In Wave 2 all I had was

1 irritation and sensitization data.

2 DR. BELSITO: Yeah, that's all I had,  
3 too.

4 DR. SNYDER: I didn't have any  
5 composition data in Wave 2.

6 DR. BELSITO: The only composition --

7 MR. JOHNSON: Wave 2 is the --

8 DR. BELSITO: Was just the bisabolol.

9 MR. JOHNSON: It's in Data 2.

10 DR. ANSELL: Where is that in the --

11 MR. JOHNSON: Data 2, subsequent to the  
12 plants and herbs.

13 DR. ANSELL: How do I relate that to a  
14 page number?

15 DR. BELSITO: We're in Wave 2?

16 MR. JOHNSON: No, actually it was  
17 the.pdf that accompanied the safety assessment.

18 DR. BELSITO: What page?

19 MR. JOHNSON: It was identified as Data  
20 2.pdf file.

21 DR. BELSITO: What page of the entire  
22 document?

1 MR. JOHNSON: It would be on page 69.

2 DR. GILL: Of the report.

3 DR. BELSITO: No, we don't have page  
4 numbers in the report.

5 DR. LIEBLER: It's on.pdf page 69.

6 DR. ANSELL: It's an assessment of  
7 plants, herbs, plant/herb extracts and their  
8 natural synthetic -- description of the plants,  
9 systemics, plant parts and products, ingredients  
10 and constituents.

11 DR. BELSITO: There are some nice  
12 pictures.

13 DR. LIEBLER: I'm not seeing the data.

14 DR. BELSITO: Yeah, I don't see  
15 anything.

16 DR. LIEBLER: I'm seeing descriptions of  
17 the types of compounds that are present, but not  
18 amounts. Now, there's a picture on.pdf 74 of the  
19 bisabolol family compounds. The closest we have  
20 is a description from the essential oil, which is  
21 not where our insufficiency lies. And there's  
22 four bullet points: Up to 15 percent chamazulene

1 and precursor matricin and up to 50 percent  
2 bisabolols and bisabolol oxides. That's for the  
3 oil. So we're still short --

4 DR. ANSELL: On these --

5 DR. LIEBLER: Right, for the leaf and  
6 flower.

7 DR. ANSELL: Right, therapeutic relevant  
8 compounds like the tributyltins are absent in the  
9 root.

10 DR. LIEBLER: I mean it's a literature  
11 review. It doesn't have the information that  
12 would go into Table 5, I guess it is. So that's  
13 what we need.

14 DR. BELSITO: Plus on page 72 of  
15 the.pdf, it sort of justifies what we're asking  
16 for. It says "The roots are used for  
17 pharmaceuticals of the anthroposophical therapy.  
18 The essential oils differ considerably from the  
19 aerial part." So we already have information that  
20 things in this plant differ. And we don't have a  
21 --

22 DR. SNYDER: It even goes on further.

1 DR. BELSITO: Yeah, it's just part of  
2 the essential oils. We just don't know the actual  
3 percentages.

4 DR. LIEBLER: So this just doesn't  
5 suffice. I mean it's useful appendix material,  
6 but it doesn't address our need.

7 DR. BELSITO: Well, I think it's nice  
8 because it hits us with okay, here are the issues,  
9 the coumarins, et cetera. And then it goes on to  
10 talk about the quality controls for chamomile  
11 flowers in preparations, which gets back to my  
12 point. I honestly think that this is another case  
13 where probably everything in cosmetics more or  
14 less is coming from the flower. But when you read  
15 the literature and the way people label things,  
16 it's the flower and the stem because there are  
17 little bits of stem that haven't gotten off of the  
18 flower so we label it both ways. But in order to  
19 rule -- I mean that's just a guess just like I  
20 think the literature is ambiguous on exactly what  
21 it is.

22 DR. LIEBLER: And the question of what's

1 actually in these? You can imagine a -- remember  
2 that "kitchen confidential?" You can imagine a  
3 "botanical confidential." Yeah, yeah, we actually  
4 grind up stems, and we put them in our cheap  
5 botanicals.

6 DR. BELSITO: Okay, anything else here?

7 DR. LIEBLER: Yeah, I do have a couple  
8 of other issues on this report beyond the  
9 composition. So this is actually related to the  
10 composition, but on the.pdf --

11 DR. BELSITO: Can Paul just interrupt?

12 DR. SNYDER: It looks like it says here  
13 "The composition of the essential oil in roots  
14 differs from that in flowers."

15 DR. LIEBLER: Right. It says that right  
16 in the.pdf that we were just looking at.

17 DR. BELSITO: Right, okay. Where are  
18 you, Dan?

19 DR. LIEBLER: .pdf page 28. This is  
20 back to the report under Provocative Testing. So  
21 there's a series of studies here -- a couple of  
22 them are pretty large studies -- and it looks like

1 the extracts that were used in these studies were  
2 specially prepared for these studies by methods  
3 that look like they're somewhat different from  
4 those described in our report for the commercially  
5 available products.

6           And I'm not saying that there's a  
7 problem with this, but I think we need to note it  
8 maybe eventually in our discussion. For example,  
9 the second paragraph under Provocative Testing  
10 starts "The frequency of allergic reactions to a  
11 compositae plant mixture." It describes the  
12 preparation of ether extracts. Now, up earlier in  
13 the document the methods for preparing these --  
14 steam distillation or maceration in oil -- are  
15 described and ether extract is different. And if  
16 you took a fresh product or a fresh plant and  
17 prepared an ether extract, you'll probably get the  
18 maximum concentration of any potentially bioactive  
19 organics. And this extract could actually have  
20 somewhat different properties and perhaps even  
21 produce greater responses than you might get from  
22 a commercially prepared extract.

1                   So I'm referring to these in my notes as  
2                   sort of homebrew extracts that were prepared for  
3                   these studies, and there are a few of them that  
4                   I've noted. There's one that I noted on.pdf page  
5                   28, the study on.pdf page 29, second paragraph  
6                   under Chamomilla Recutita, the "allergenicity of  
7                   chamomilla recutita." There's another; this one  
8                   is "defatted with acetone and macerated in  
9                   phosphate buffered saline." Then there's another  
10                  one that was an issue of extract in petrolatum and  
11                  another one of the extraction solvent. For each,  
12                  the extract was not stated.

13                  So the problem with these studies is  
14                  that in some cases they produce what looks like a  
15                  significant number of positive reactions to the  
16                  extracts, but you have no way of being able to  
17                  relate the data back to the type of extract that  
18                  is commercially provided to producers of cosmetic  
19                  products.

20                  So I'm not saying that these data aren't  
21                  useful or can't be evaluated, but we need to have  
22                  some way to put an asterisk on them that's

1 basically in the discussion. "The Panel noted  
2 that extracts prepared for some of the studies  
3 were prepared by methods that appear to be outside  
4 the standard procedures for preparing the  
5 commercially used ingredients."

6 DR. BELSITO: Well, I mean the  
7 provocative tests are all patch tests, so they're  
8 not industry tests. These are dermatologists in  
9 Europe patch-testing people with Finn Chambers.

10 The ether extracts were all probably  
11 produced by a guy by the name of Hausen in Germany  
12 who's since passed away. He was really interested  
13 in botanical dermatology and provided a lot of us,  
14 including myself, with these ether extracts of  
15 various plants that he had prepared in his lab.  
16 And that's probably what they were. The  
17 conjunctival testing I don't know about, but I  
18 would suspect that all of the other patch-test  
19 data that you're seeing from Europe on these  
20 patients are probably Hausen's ether extracts.

21 But I think it's worth making a note,  
22 but again this is not like it's big-time safety

1 testing. This is diagnostic testing to rule out  
2 allergic reactions to chamomile in patients  
3 suspected of having allergic reactions or in some  
4 cases of compositae-sensitive patients to see if  
5 they would also react to chamomilla recutita.

6           So this type of stuff is nice. I think  
7 it should be in the report. And if you start  
8 seeing thousands of cases of positive patch-tests  
9 to an ingredient, as you will see more than  
10 likely. We see them coming up -- when we see this  
11 huge blip on reactivity, I think it's meaningful.  
12 But in this case you're really not seeing this  
13 type of overwhelming number of patients coming up  
14 positive to chamomile, including some  
15 compositae-sensitive patients, which I think is  
16 interesting.

17           DR. LIEBLER: Okay, so anyway I made my  
18 point.

19           DR. BELSITO: I mean we can make the  
20 point, "ether extract (which is not a typical  
21 cosmetic method of manufacturing this ingredient)"  
22 or something.

1 DR. ANSELL: Well, I think your point is  
2 much more interesting as it relates to the whole  
3 test. Not only is it a nontypical preparation,  
4 it's on nontypical people.

5 DR. BELSITO: Right.

6 DR. ANSELL: So perhaps that can be  
7 carried into the discussion.

8 DR. LIEBLER: Right. Well, I'm not  
9 saying that we don't use the data. I'm simply  
10 saying that we note in the discussion that the  
11 types of -- in addition to the variety, plant to  
12 plant variety for the botanicals, you have this  
13 added variety in some of the test materials that  
14 were used in some of the studies that we cited.  
15 And that's all, but it needs to be noted.

16 DR. ANSELL: Well, and the patients, the  
17 subjects, may not be typical either.

18 DR. BELSITO: If you're concerned, I  
19 think that any dermatologist reading this when  
20 they see provocative testing is going to know that  
21 this is a select group of patients. These aren't  
22 normal individuals coming in for HRIPTs to assess

1       whether a specific chemical can induce  
2       sensitization at a certain level. I mean if you  
3       want -- because otherwise you're going to have to  
4       say it every time you talk about the next group of  
5       tests. If you want in all documents to create a  
6       boilerplate for provocative testing --

7                 DR. LIEBLER: No, no, I'm not going  
8       there. This is a case that may occur with other  
9       botanicals, but it certainly doesn't apply to all  
10      provocative testing. It would not require a  
11      boilerplate.

12                DR. BELSITO: Well, I mean actually it  
13      does because you never know whether that material  
14      that was used is actually cosmetic-grade material.  
15      These can be made up by the investigator. A lot  
16      of them are commercially available from companies.  
17      Presumably they're buying cosmetic grade. But  
18      you're not going to know that, I can guarantee  
19      you, from the reports in the literature  
20      necessarily unless you go back and they say they  
21      purchased it from Chemotechnique in Malmö, Sweden.  
22      And you go back to Chemotechnique and you check

1       their MSDS sheet and you look at -- maybe it'll  
2       say cosmetic grade or whatever.

3                   DR. ANSELL:  I agree with Dan's point.  
4       I think it's a very interesting one.  But I also  
5       think Dan's reaction to 56 of the patients who  
6       tested positive, suggesting a high allergenicity  
7       potential, is perhaps not the right conclusion  
8       either.  So I just wanted to add that not only is  
9       the test material atypical, but the subjects  
10      themselves.  Maybe we need something about  
11      provocative testing.

12                   DR. BELSITO:  That's what I was saying.  
13      I mean if you're concerned that this could be  
14      misinterpreted, the issue came up when we were  
15      looking at -- I don't remember if it was gallites  
16      or what -- in a lipstick and they took patients  
17      who had cheilitis.  So this is a patient  
18      population where you're looking for something in a  
19      lipstick and they saw a very high percentage of  
20      patients patch-testing positive to whatever  
21      ingredient we were looking at.  That's the same  
22      thing here.

1                   So if you want to create some type of  
2 boilerplate to alert people that when we're  
3 talking about provocative tests, "provocative  
4 tests refer to patch-tests and other testing  
5 techniques that are done in patients suspected of  
6 having an allergic reaction to this ingredient or  
7 potentially allergic reactions to this ingredient  
8 and are not representative of the sensitization  
9 capacity of these ingredients in the normal  
10 population; furthermore, it's not clear that the  
11 ingredient used for patch-testing is the same as  
12 commercial-grade cosmetic material." And just  
13 create that as a boilerplate before all  
14 provocative testing.

15                   DR. LIEBLER: So I don't think we need  
16 to make the boilerplate queue any longer. We have  
17 more boilerplate candidate language than what the  
18 staff will ever get around to drafting for us.  
19 And this is probably -- this could be addressed in  
20 the discussion because we're going to have to have  
21 a paragraph in the discussion that acknowledges  
22 the variety of the range of ingredient

1       compositions that we're dealing with here --  
2       between the oil and the flower and the stem leaf.  
3       And once we do have data to discuss that, we'll  
4       say the Panel had to consider that. We also had  
5       to consider that the preparation methods differed  
6       between industry, and in addition some of the  
7       preparations used and some of the testing  
8       described in the literature also may further  
9       differ from those for commercial products. And we  
10      don't need to say anything more, just simply that  
11      we were aware of that, that we took that into  
12      consideration.

13                 Then there's the whole other issue of  
14      whether people in provocative testing are  
15      atypical. It sounds like that's well-known to  
16      people who are familiar with that sort of  
17      literature and doesn't need to be beaten to death.  
18      So I think that sort of takes care of itself.

19                 I actually have a couple of other issues  
20      I wanted to get on to, if I may. These are the  
21      sections on the anticarcinogenicity. I'm not  
22      really sure that -- so this is on.pdf page 35.

1 I'm not really sure that these sections are  
2 relevant. The toxicity to the cell lines  
3 associated with cancer -- so basically this isn't  
4 in vivo studies where they were able to inhibit  
5 skin carcinogenicity like we'll see with the  
6 rosemary. But instead these are atoxicity to  
7 tumor cell lines. And you can beat tumor cell  
8 lines to death with chemicals in vitro. That  
9 doesn't mean that's a true anticancer effect or an  
10 anticarcinogenicity effect. So I don't think this  
11 is, based on the data we're showing here, it's not  
12 necessarily cancer specific or really relevant to  
13 in vivo activities.

14 DR. BELSITO: So would you get rid of  
15 the entire anticarcinogenicity section?

16 DR. LIEBLER: Yes.

17 DR. SNYDER: We had this discussion last  
18 time, and the other team -- we had a lot more in  
19 there, remember? We had a lot more other data on  
20 those types of issues. And they relinquished, I  
21 think, all but this data. They were kind of  
22 adamant that this data had some biological --

1 DR. LIEBLER: So we could have -- we  
2 could consider the in vivo model, which is in the  
3 third paragraph, to remain. But the first two are  
4 basically cell line studies; that you're killing  
5 tumor cell lines with these compounds. I don't  
6 think that has any particular in vivo relevance.  
7 So the first two paragraphs of that  
8 anticarcinogenicity section could go.

9 DR. BELSITO: So you would continue --  
10 you would keep the cytotoxic activity?

11 DR. LIEBLER: Yeah, you could keep that  
12 because at least it's an in vivo model.

13 DR. BELSITO: Well, if we do keep the  
14 first two paragraphs, tomorrow I think that it's  
15 sort of redundant going in the first paragraph to  
16 say "against the following human cancer cell  
17 lines, human prostate cancer cells." Just say  
18 "against the following human prostate cancer cells  
19 derived from," but I will --

20 DR. LIEBLER: Another thing you could do  
21 if the other team really wants to keep that in is  
22 that you could decrease it substantially because

1 it's much ado about probably nothing.

2 MR. JOHNSON: So, that study on the  
3 flower oil, would that be deleted as well?

4 DR. BELSITO: The first two paragraphs,  
5 Wilbur, is what Dan's suggesting.

6 MR. JOHNSON: Yeah, I'm talking about --  
7 yes.

8 DR. BELSITO: The cytotoxic activity?  
9 Is that what you're asking about?

10 DR. LIEBLER: The third paragraph?

11 MR. JOHNSON: Under the oil subheading,  
12 there's another anticancer activity study. Since  
13 we were dealing with that section, I didn't know  
14 whether or not you were also referring to the data  
15 on the oil in terms of deleting it.

16 DR. LIEBLER: Yeah, right, the flower  
17 oil. I mean it's the same issue.

18 MR. JOHNSON: Okay.

19 DR. LIEBLER: It's basically these cells  
20 being beaten with these compounds.

21 So I also had some more concerns under  
22 Biological Activity, .pdf page 36, the paragraph

1 under Anti- Inflammatory Activity, chamomilla  
2 recutita, the effect of chamomilla --

3 DR. SNYDER: That's another one they  
4 wanted to keep.

5 DR. LIEBLER: Well, I think there's poor  
6 justification for it. I remember reading in the  
7 transcript of our discussion, they were interested  
8 in maintaining the stuff on wound healing, and I  
9 don't think it's necessary.

10 DR. SNYDER: And, Dan, and the  
11 anti-inflammatory.

12 DR. LIEBLER: So I think this is of  
13 dubious relevance, basically its effects on  
14 neutrophils in vitro, and concentrations are much  
15 higher than would be in vivo biologically  
16 relevant.

17 DR. BELSITO: So delete it or shorten  
18 it.

19 DR. LIEBLER: Right. And I had the same  
20 on.pdf under Pharmacologic Activity, the GABA-like  
21 activities.

22 I think that's also irrelevant, as is

1 the Antioxidant Activity. The Antioxidant  
2 Activity paragraph right under it is about the  
3 reaction with DPPH, which is -- you can buy this  
4 radical in a jar from Sigma. It's the most stable  
5 radical in the world, and you can buy it in a jar  
6 and lots of things react with it and it doesn't  
7 make anything biological. So that paragraph can  
8 go. So, again, this is the middle of 37.

9 DR. BELSITO: So both paragraphs deleted  
10 or shortened. We'll see if the other team is  
11 okay.

12 DR. LIEBLER: Okay, that's it.

13 DR. BELSITO: Paul?

14 DR. SNYDER: So I want to go back and  
15 ask Dan -- so in looking at the boilerplate for  
16 the botanicals I think there's lots of issues to  
17 discuss, but what it came down to me was the real  
18 issue is the same issue that we're presented with  
19 here. What is the composition of the starting  
20 material and what were the extraction methods or  
21 methods used to derive the ingredients that are  
22 used in cosmetics? And so if you go to the

1       Methods of Manufacture section in this document,  
2       we don't say anything about --

3                 DR. BELSITO:   Page?

4                 DR. SNYDER:   I have a Word document, so  
5       I --

6                 MR. JOHNSON:   Page 20.

7                 DR. SNYDER:   So I think in these  
8       botanicals what we're obligated to do is talk  
9       about really the materials and methods, what are  
10      the components and the composition of those  
11      components, and then what are the methods used to  
12      make the extractions or derive the ingredients  
13      that are used then in cosmetics?

14                And so I think we need to have those  
15      expanded upon in a little bit more detail because  
16      throughout this report -- I just went through it  
17      while you guys were having that discussion and  
18      highlighted -- we have aqueous solvent, ether  
19      solvent. We have extraction. We have I think  
20      four or five different extraction methods used.  
21      And so I see now that in the animal studies, we're  
22      doing an aqueous extract as opposed to a solvent

1 extract that was used for the cosmetic --

2 DR. LIEBLER: So there are two issues,  
3 Paul. These are good points you bring up. There  
4 are two issues, though, that we tend to confuse.  
5 One is how the commercially supplied ingredient is  
6 extracted from the plants.

7 DR. SNYDER: Right.

8 DR. LIEBLER: And that's provided  
9 briefly under Method of Manufacture. And if we  
10 had any more complete information that would be  
11 welcome, but at least we do have information here.

12 And then there's the issue of how that  
13 ingredient that was originally extracted by steam  
14 distillation, let's say, how that was then  
15 presented to the test system or the people or the  
16 patch or the animals. Was that put in petrolatum?  
17 Was that put in an aqueous suspension? Was that  
18 put in whatever? And that's going to be  
19 study-to-study specific whereas the method by  
20 which the extract was produced in the first place  
21 is a separate issue.

22 DR. SNYDER: Okay.

1 DR. LIEBLER: So it's two different  
2 things.

3 DR. SNYDER: Thanks for covering it. So  
4 the animal testing that was done was an extract in  
5 an aqueous solution.

6 DR. LIEBLER: Right.

7 DR. SNYDER: Would that be significantly  
8 different from one that was in a solvent or in a  
9 different -- do you know what I'm saying? Because  
10 that could change the exposure.

11 DR. LIEBLER: Only to the extent that if  
12 the aqueous mixture didn't fully dissolve all its  
13 stuff. It might have been that that's the form  
14 that the product was available to them in. A lot  
15 of times these decisions are sort of practical  
16 decisions based on how you receive the product.

17 DR. SNYDER: So I guess the point I was  
18 trying to make was should we have some language in  
19 our report that says exactly what you said, that  
20 the issue of the extraction method is irrelevant  
21 to exposures or irrelevant to toxicity?

22 DR. LIEBLER: Oh, it's not irrelevant

1 because it determines what the mixture contains  
2 after it's taken out of the plant. Then the next  
3 step is the presentation of that mixture,  
4 depending on the vehicle used in a particular  
5 study or the composition of the product. And in  
6 both steps there's a lot of variation, I guess.  
7 That's the problem. And I think we need to  
8 capture that in our discussion and ultimately in  
9 our boilerplate.

10 DR. BELSITO: Anything else, Paul?

11 DR. SNYDER: No, I'm fine.

12 MR. JOHNSON: Dr. Belsito, just one  
13 comment. In Wave 2, some of the structural  
14 components of chamomilla recutita were provided.  
15 And I would just like to know whether or not those  
16 structures should be included in the report  
17 because at the last meeting you had mentioned  
18 including some of the structural components, the  
19 structures for those in the report. They're in  
20 Wave 2.

21 DR. LIEBLER: I saw them. I think  
22 they're fine to put into the report.

1 MR. JOHNSON: Okay.

2 DR. LIEBLER: Because you're basically  
3 showing some of the major organic components.

4 DR. BELSITO: Anything more on  
5 chamomilla recutita?

6 Now we move on to the other chamomile,  
7 anthemis nobilis. So, again, same thing  
8 basically. We split these into two and asked for  
9 additional data on the composition of the nobilis  
10 ingredients except the flower oil, skin irritation  
11 and sensitization data on the ingredients except  
12 the flower oil at use concentration of 10 percent.  
13 And we, again, have this issue of deciding what  
14 kamillosan is, so that will be an issue for this  
15 report. And also when we're talking about just  
16 chamomile without the species defined, we need to  
17 be very specific in those sections that we're not  
18 sure whether this is anthemis nobilis or  
19 chamomilla recutita.

20 So what we got was composition data,  
21 updated use concentration data, HRIPT, a leave-on  
22 skin lotion containing 3 percent, and that was in

1 Wave 2. So the question is where are we with  
2 this? I thought that we still don't have the  
3 sensitization and irritation data -- well, no, I'm  
4 sorry. They were safe as used and, again, in the  
5 introduction we need to name the ingredients that  
6 we're looking at.

7 DR. LIEBLER: So we still don't have the  
8 composition on the flower extract, right? The  
9 chemical composition?

10 DR. SNYDER: All we have is on the  
11 flower oil.

12 DR. LIEBLER: Yeah, and that's got seven  
13 uses and the flower extract's got 423 uses.

14 DR. BELSITO: I guess my thinking here  
15 -- and I reviewed this a while ago -- was when we  
16 look at the -- we have stuff on the flower. What  
17 we sort of missing is -- I mean if you look at the  
18 two columns, we have flower oil and we have  
19 flower. I think what we're missing from the  
20 flower are basically the oil components, which in  
21 the whole flower are going to be less. So my  
22 thinking was if you put the two together, you know

1       what the heck is in the flower, and, in fact, the  
2       composition of the oils in the flower as it is are  
3       going to be lower than what you're seeing in the  
4       more concentrated oil. So I didn't have an issue  
5       with that.

6                 DR. LIEBLER: Well, if we take that view  
7       here, then I think we need to take that view with  
8       chamomilla recutita.

9                 DR. BELSITO: We are. We're saying the  
10       flower is fine, but we have no data on the stem  
11       and leaf.

12                DR. LIEBLER: Oh, stem and leaf, I see.

13                DR. SNYDER: Anything that's added to  
14       the flower.

15                DR. LIEBLER: Okay, flower, flower oil,  
16       right. So there's no stem/leaf.

17                DR. BELSITO: For recutita.

18                DR. LIEBLER: For nobilis. Well, I  
19       agree. The thing that I think was sort of  
20       insufficient here or that are lacking information  
21       was on the organics. And, of course, the organics  
22       would be present in the oil because the flower had

1       these ppms on inorganics primarily in things like  
2       fat and fiber and so forth, which wasn't terribly  
3       helpful. Okay, so I think I agree. We're no  
4       longer insufficient on composition. I think it's  
5       a reasonable assumption on the oil-to-flower  
6       comparison.

7                   DR. BELSITO: And all we have here are  
8       flower- derived materials.

9                   DR. LIEBLER: Yeah, that's the key.

10                  DR. BELSITO: And then, again, we need  
11       to clarify that kamillosan, and in the  
12       introduction we need to list the ingredients we're  
13       reviewing. And then I just had some minor  
14       typographical --

15                  DR. LIEBLER: Same here.

16                  DR. GILL: So let me ask for  
17       clarification again. We do have the data, all of  
18       the composition data. We're satisfied with the  
19       composition data we've received.

20                  DR. BELSITO: Yes.

21                  DR. GILL: For flower and --

22                  DR. LIEBLER: Or inferring the flower

1 from the oil. So that's the cache that we're  
2 doing it.

3 DR. SNYDER: So I think it's important  
4 in the introduction to state that "all of the  
5 ingredients used in cosmetics are derived from the  
6 flower component of the plant; and, therefore,  
7 this material related to the" dah, dah, dah, dah  
8 can be used to support all of those.

9 DR. LIEBLER: The composition data from  
10 the oil.

11 DR. SNYDER: Yeah. So I think you need  
12 to just capture that clearly in the introduction.  
13 That just sets the tone for the rest of the  
14 report.

15 DR. LIEBLER: Yeah.

16 DR. SNYDER: As opposed to the other  
17 report, we did the opposite. We say that "the  
18 ingredients used in cosmetics are derived from all  
19 components of the plant and only composition data  
20 is available for the flower."

21 So what is the highest concentration of  
22 use of this again? This is now 10 percent back

1 down to --

2 DR. BELSITO: No, this is --

3 DR. SNYDER: So the 10 percent were the  
4 carryover --

5 DR. ANSELL: The maximum use of the  
6 flower extract in a leave-on is 0.05. There is  
7 one reported use at 10 percent, but that's not  
8 supported.

9 DR. LORETZ: It's flower water.

10 DR. SNYDER: So they're at 10 percent  
11 with flower water.

12 MR. JOHNSON: Jay, what do you mean by  
13 that as unsupported?

14 DR. ANSELL: That it was a single  
15 reported use of the flower water used at 10  
16 percent.

17 DR. BELSITO: But it's also in a  
18 rinse-off.

19 DR. GILL: And that is specifically what  
20 the Panel asked for, the concentration at 10  
21 percent of irritation and sensitization.

22 DR. ANSELL: Right. And if there's a

1 concern, you're prepared to support 0.05 percent?

2 DR. GILL: Uh-huh, so at the highest.

3 DR. ANSELL: It's really not the  
4 highest. It's a single report. And we confirmed  
5 that is the right number, but there's only one use  
6 at that level. And if the Panel is concerned with  
7 a use at that level, we'd be willing to accept a  
8 lower threshold.

9 DR. BELSITO: On the Leave-On section --  
10 you know, the 10 percent is in a rinse-off, so I'm  
11 not getting excited about that. I guess I'm more  
12 interested in what's in a leave-on, and you have 4  
13 percent for the flower water. But I don't see  
14 where that's coming from. You have dermal contact  
15 1 to 10, and you have a bunch of others that are  
16 not reported. So where is that 4 for leave-on  
17 coming from because I just see --

18 DR. SNYDER: There is a deodorant, a  
19 dermal contact deodorant underarm at 1 to 10  
20 percent, so it's more than rinse-off.

21 DR. BELSITO: Okay, so --

22 DR. SNYDER: For the flower water.

1 DR. BELSITO: But then it says rinse-off  
2 is 2 to and the dermal underarm deodorant --

3 DR. SNYDER: So that's wrong. That  
4 should be 1 to 10.

5 DR. BELSITO: It's dermal contact. For  
6 the dermal underarm deodorant there's no  
7 concentration reported. Either my.pdf or your  
8 word is dermal underarm, nothing -- there's one  
9 ingredient for flower extract, but the  
10 concentration is --

11 DR. SNYDER: Well, what's the dermal  
12 contact 1 to 10? That includes the rinse-off.

13 DR. BELSITO: The 10 is rinse-off.

14 DR. SNYDER: Gotcha.

15 DR. BELSITO: But I don't know where the  
16 4 is coming from.

17 MR. JOHNSON: There's a 4 percent of  
18 anthemis nobilis flower water used in foundations  
19 at 4 percent.

20 DR. SNYDER: So that's not in Table 5,  
21 so where did you get it? That's raw data that you  
22 have from the --

1 MR. JOHNSON: Yes, from the Council.

2 DR. SNYDER: So Table 5 needs to be  
3 updated?

4 MR. JOHNSON: Yes.

5 DR. GILL: There is a leave-on at 1 to 4  
6 percent in Table 5.

7 MR. JOHNSON: Yeah, and that's at 4  
8 percent.

9 DR. BELSITO: Yeah, but it's not clear  
10 where that is coming from. So what we're saying  
11 is it's a foundation.

12 MR. JOHNSON: A foundation, yes.

13 DR. BELSITO: And that is for the flower  
14 water?

15 MR. JOHNSON: The flower water, yes.

16 DR. ANSELL: Isn't it.4?

17 MR. JOHNSON: No, it's 4. That's on  
18 page 8 of the use concentration data received from  
19 the Council.

20 DR. BELSITO: What page of the.pdf?

21 MR. JOHNSON: 46.

22 DR. BELSITO: 4 percent, yeah,

1 foundation. Got it. Okay, so let's just -- we  
2 have sensitization for the anthemis flower oil at  
3 4 percent. And then we have flower oil 4 percent  
4 for petrolatum. I mean I think that the water  
5 extract is going to be much less sensitizing than  
6 the flower oil. We have 4 percent. I mean,  
7 again, I think we're fine, particularly since the  
8 10 percent is in a rinse-off. I'm not going to  
9 argue with that. We have 4 percent oil, so I  
10 think these are safe as used. We'll have to  
11 attach whatever we agree on for the botanical  
12 boilerplate.

13           Ingredients of concern here for the  
14 discussion obviously are going to be the usual  
15 heavy metals, pesticides, whatever botanical  
16 combination boilerplate we come up with. In  
17 looking at what's in here, I didn't really flag  
18 anything for this one unless I'm missing  
19 something. I mean we're -- pretty much what's in  
20 this isn't really anything of significance in  
21 terms of allergens that I've heard coming out of  
22 the oil industry. So I mean I don't really even

1 think there's anything to flag in terms of  
2 ingredients of concern.

3 DR. LIEBLER: Safe as used.

4 DR. BELSITO: Okay. Paul?

5 DR. SNYDER: Yup.

6 DR. BELSITO: Okay. The next one is  
7 formic acid. So in June we reopened the safety of  
8 formic acid, a pH adjustment to evaluate it for  
9 its new reported function as a preservative and  
10 then to add the salt, sodium formate. We asked  
11 for use concentration data. We have a whole bunch  
12 of new data: Toxicokinetic, irritation,  
13 sensitization for formic acid and the formate.  
14 And before we had restricted to 64 ppm of the free  
15 acid because of irritation, but since that time  
16 we've taken up the caveat of formulating to be  
17 nonirritating. So the question is are we okay  
18 going safe as used here?

19 DR. LIEBLER: I think so.

20 DR. BELSITO: Yeah, I did, too. I had a  
21 comment here in the draft discussion that we  
22 should also point out that the concentrations used

1 -- the highest dermal contact being .02 percent and  
2 the highest questionable leave-on in a  
3 non-coloring hair product was .2 percent -- are  
4 very low. So I'm assuming -- and this was another  
5 question that I wanted to bring up. When you say  
6 that the highest dermal contact is .02 but it's in  
7 a non-coloring hair product, do you presume that  
8 non-coloring hair products don't contact the scalp  
9 because the scalp is certainly skin?

10 DR. GILL: I thought there was some  
11 discussion a couple of meetings ago where the  
12 assumption was that it does contact the skin, the  
13 hair coloring product.

14 DR. BELSITO: Yeah. Well, this was a  
15 non-coloring hair product. But still, I mean, you  
16 apply anything to your hair, at least when I do  
17 it, it's all over my scalp, my neck, my forehead,  
18 and everywhere else. So the highest dermal  
19 contact if it's in a non-coloring hair product  
20 should be .2 percent. In fact, the table needs to  
21 be corrected. But I still would point out the low  
22 use level of .2 percent as part of the discussion.

1 Paul? Dan?

2 DR. LIEBLER: Yeah, I have a lot of  
3 small comments. I'll just mention a couple. On  
4 Composition and Impurities, you list technical  
5 grade formic and then commercial grade and then  
6 pharmaceutical grade. The pharmaceutical grade  
7 specifications --

8 DR. BELSITO: What page are you on?

9 DR. LIEBLER: Oh, this is on.pdf 15  
10 under Composition and Impurities. There must be  
11 an error here because the pharmaceutical grade  
12 specifications look way worse than the technical  
13 grade specifications. And I'm not sure what's  
14 relevant to say the technical grade. I mean we  
15 usually think of that as the lowest grade stuff.  
16 I'm not sure what is used in cosmetic ingredients,  
17 but probably is relevant to correct the  
18 pharmaceutical grade and to determine if that's  
19 what's actually used so that you're only reporting  
20 what's relevant.

21 Under UV, that discussion is  
22 unnecessarily long and detailed, and I'd recommend

1 shortening it.

2 Photodecomposition section --

3 DR. BELSITO: Hold on, hold on, where  
4 are you now?

5 DR. LIEBLER: Still on.pdf 15, UV  
6 Absorption. That can be shortened. I provided a  
7 shortened description.

8 Photodecomposition section is not  
9 relevant. It's a specialized circumstance and  
10 really not relevant to cosmetic use. It's  
11 photooxidation of formic acid in the presence of  
12 hydrogen peroxide with a low-pressure mercury  
13 vapor lamp. That can go.

14 DR. BELSITO: So you're suggesting  
15 deleting the entire Photodecomposition section?

16 DR. LIEBLER: Yes. And then, let's see,  
17 then the -- on.pdf page 20 under Sodium Formate,  
18 there's a lengthy discussion of free radical  
19 generation in Fischer male rats was studied. This  
20 could be simply shortened to say "a spin trapping  
21 (electron spin resonance study) was used to detect  
22 free radical formation in Fischer rats." Provide

1 the reference and leave it at that.

2 DR. BELSITO: Did you make those  
3 corrections in the document?

4 DR. LIEBLER: Yes, these are all -- I'm  
5 just going through things just to let you know  
6 what I flagged.

7 And let's see, I've got another --  
8 on.pdf page 21 under Cytotoxicity, I thought --  
9 again, this is another cell line study where  
10 you're dumping formic acid on cell lines. And  
11 these data mainly compare obscure differences in  
12 the responses of these two cell lines. I don't  
13 think that's of any importance for our report, and  
14 I suggested that that be deleted.

15 DR. BELSITO: The entire first  
16 paragraph?

17 DR. LIEBLER: Yeah. Actually both -- I  
18 had the issue really for that whole Cytotoxicity  
19 section: Formic Acid, Sodium Formate, and Formic  
20 Acid and Sodium Formate. So these are all about  
21 these studies with these retinal pigment  
22 epithelium cell lines. They're not epidermal cell

1 lines. I think that the choice of cell lines is  
2 somewhat obscure and of dubious relevance to the  
3 types of cells that would come into contact with  
4 these ingredients, retinal pigment epithelium not  
5 being particularly relevant. And then this cell  
6 model is not a model for anything.

7 DR. BELSITO: So you're deleting the  
8 entire --

9 DR. LIEBLER: I'd delete that entire  
10 Cytotoxicity section.

11 DR. SNYDER: Based on questionable  
12 relevance to cosmetic use?

13 DR. LIEBLER: Yup, exactly. And that's  
14 it. And for the things that I've mentioned, other  
15 than the deletions, I've specified some revised  
16 language for Wilbur.

17 DR. BELSITO: Paul?

18 DR. SNYDER: I had the same, just minor  
19 bits.

20 DR. BELSITO: Okay, so we're going with  
21 a safe as used, and we'll bring up Dan's  
22 recommendations for deletions tomorrow.

1 DR. SNYDER: When --

2 DR. BELSITO: Yeah, that's what the  
3 conclusion is.

4 DR. LIEBLER: Can we take a quick break?

5 DR. BELSITO: Yeah. So 10:34. Can we  
6 be back at 10:45, in 11 minutes?

7 (Recess)

8 DR. BELSITO: Okay, all set. So  
9 iodopropynyl butylcarbamate. This is a re-review.  
10 In '98 we went with safe at concentrations less  
11 than or equal to 0.1 percent and not for use in  
12 aerosolized products. It was largely based on the  
13 lack of inhalation, toxicity, and since then we've  
14 created a boilerplate to deal with those issues.

15 Currently, the uses have increased  
16 significantly, probably because some other  
17 preservatives have been limited and/or banned in  
18 the EU. It's used in 942 products, concentrations  
19 of 0.05 percent in leave-in and rinse-off  
20 products. In the EU, there is new regulation that  
21 limits it to 0.02 percent in rinse-off products  
22 and also restricts it not to be used in products

1 for children less than three years of age.

2 I took the opportunity when I was in  
3 meetings in Europe to ask my European colleagues  
4 about this in dermatology, and they really didn't  
5 have a sense as to why, based upon sensitization,  
6 that the EU was limiting it to 0.02 percent. So I  
7 think based upon the materials that we received, I  
8 suspect that it has to do with the issue of this  
9 having an iodine molecule and potential effects on  
10 thyroid. But we have a 104-week chronic oral  
11 effect with no -- in rodents with 104-week chronic  
12 oral with no effect or at least no reported effect  
13 on the thyroid. So endocrinology is not my  
14 strongpoint. What I can tell you is at least  
15 patch testing-wise I see a lot of irritant  
16 reactions with this patch testing. I don't see a  
17 lot of truly allergic reactions coming up with it  
18 and our data before supported the safety at 0.1  
19 percent.

20 So I'm leaving it up to other people on  
21 my team and we'll hear what the other team has to  
22 say, if they're concerned about the absorption of

1 iodine from this molecule and effect on the  
2 thyroid because that's the only reason I can come  
3 up with for these EU restrictions in terms of 0.02  
4 percent and not to be used on children less than  
5 three.

6 DR. LIEBLER: So I read through the EU  
7 document that's part of the wave two data which  
8 provides a summary of some of the literature data.  
9 And it includes data on the liberation of iodine  
10 from organics by deiodination or dehalogenization  
11 enzymes. And since I read this on the plane on  
12 the way up, I didn't have time to really go to the  
13 literature and look at any of the papers myself  
14 but it looks to me like the iodinases and perhaps  
15 the dehalogenases act on aromatic iodocompounds  
16 and phenolic iodocompounds. And these activities  
17 are necessary to control the synthesis of thyroid  
18 hormone -- T3 and T4 and so forth.

19 This is an alkyl iodine compound and I'm  
20 -- actually, it's a really unusual alkyl. It's an  
21 alkynol iodocompound. I don't know if there's  
22 actually any enzyme activity in mammalian systems

1 that would liberate or at least even moderately  
2 efficiently liberate iodines from these compounds.

3 For this to be much of an issue you  
4 would have to have pretty high usage and you'd  
5 have to have pretty effective removal of iodine  
6 from this so that it could be absorbed as iodine  
7 as opposed to this alkynol iodocompound. So I  
8 actually found the argument that this would be a  
9 source of iodine and lead to iodine overdose to  
10 be, I think, not a very compelling argument.

11 DR. BELSITO: Paul.

12 DR. SNYDER: Well, I had queried the  
13 basis for the EU decision. I read through the  
14 materials that were provided in the second wave,  
15 and I think with the new information that Dan has  
16 talked about between the aromatic form and the  
17 alkyl form, I don't think there's any reason to  
18 reopen it.

19 DR. BELSITO: Okay. I guess then if  
20 we're not going to reopen, which I'm fine with, we  
21 do have to address in the wave two data that -- I  
22 guess part of what was driving it is the results

1 when they fed the compound and in six days of a  
2 fixed diet at 0.01 percent to the free IPBC in two  
3 volunteers they saw no increase in iodide urinary  
4 extraction, and at 0.02 percent they did. And I  
5 think that's why they were setting those new  
6 limits or putting the limit at what, was it 0.02  
7 for rinse-offs and 0.01 for leave-ons?

8 So I guess how do we explain that bit of  
9 data? I mean, is this just, okay, yeah, you feed  
10 it, it gets broken down but on the skin it  
11 doesn't?

12 And then that raises the next point for  
13 me in the frequency and concentration of use Table  
14 1, it says "incidental ingestion not reported.  
15 Mucous membrane 102 uses."

16 So I'm having problems coming up with  
17 110 uses that wouldn't involve at least some  
18 lipstick use for mucosa. Is this in 102 vaginal  
19 products? Or 102 anal products? Or 102 mascara?  
20 I don't know. Do you think of mascara as a mucus?  
21 And when I think of mucous membrane exposure I'm  
22 thinking primarily of lipsticks or vaginal

1 products.

2 MR. JOHNSON: It's not used in lipsticks  
3 at all.

4 DR. BELSITO: Fine, but what are the 102  
5 mucosal uses?

6 Yes. For the record, just identify  
7 yourself.

8 MR. STEINBERG: David Steinberg. A  
9 couple comments, and I think that might explain  
10 the mucosal use.

11 IPBC is probably the best antifungal  
12 preservative that I've ever come across. It's  
13 been used industrially since the '50s. We started  
14 looking at it and in terms of refined it to a  
15 cosmetic quality in the late '80s, early '90s.  
16 Its maximum effectiveness as a preservative is at  
17 its maximum solubility in water, which has been  
18 reported to anywhere from 150 to 175 ppm. So  
19 putting over, let's say, 200 ppm to make it easy,  
20 gives you no preservative increase. It does give  
21 you more irritations as you go higher and higher.  
22 It is used in water-based products. It is not

1 used in anhydrous products. It doesn't work in  
2 anhydrous products. If you're putting it in,  
3 you're just wasting money.

4           The other factor which needs to be  
5 incorporated is that the iodine molecule will  
6 break off from the parent under basic conditions.  
7 The higher the pH, the longer exposure to warmer  
8 the temperatures, the more you're going to break  
9 the iodine off and it is not especially been  
10 measured except you see discoloration in the cream  
11 or lotion where it's being used because iodine has  
12 that classical color. So it's obvious that  
13 something has happened. Furthermore, when you  
14 break the iodine molecule off the parent, it is  
15 far more irritating than the molecule with the  
16 iodine.

17           So it's used principally below a pH 7 at  
18 a use level of between around 150 to maybe 200 ppm  
19 max. And the reason that you find it in mucosa  
20 types of contact is because it's such a good  
21 antifungal agent. There are a group of products  
22 which are used in that lower quadrant of the body

1       which come in contact with mucosa membranes in  
2       which antifungal properties are very important,  
3       such as douches and things like this. It's not  
4       used as an active ingredient; it's used as a  
5       preservative.

6                   DR. BELSITO: So is that where those 102  
7       come from? Vaginal douches?

8                   MR. STEINBERG: I would be very suspect.  
9       They might even be included in things like  
10      mascaras where it is commonly used and considering  
11      that an indication.

12                  DR. BELSITO: Where do we get this  
13      number? Who supplies the number of mucous  
14      membrane? I thought that we looked at the types  
15      of products it was used in and came up with a  
16      total number. I'm just, you know, incidental  
17      ingestion not being reported, mucous membrane 102,  
18      I mean, we're not talking about a discrepancy of  
19      one or two here. There are 102 products that  
20      you're saying are coming into contact with a  
21      mucous membrane with concentrations of up to 0.05.  
22      And if it's in contact with a mucous membrane, it

1 can much more easily get absorbed.

2 MR. STEINBERG: And 0.05 you're wasting  
3 -- you're at two and a half times at the level in  
4 which it works. You've exceeded what its  
5 functionality is.

6 DR. BELSITO: I mean, we have five  
7 mascaras. We have seven other eye makeup  
8 preparations. We have 14 eye lotions, 10  
9 eyeliners, one eye shadow.

10 MR. STEINBERG: One of the reasons why  
11 you see use at 500 ppm is one of the first  
12 commercial cocktails. At a ratio in which you  
13 added it at the percent suggested, you've got 500  
14 ppm.

15 DR. BELSITO: I mean, I think there's, I  
16 mean, at least based upon the list that I saw, I  
17 mean, do we normally consider a mascara mucous  
18 membrane exposure?

19 MR. BOYER: I think we have been because  
20 of exposure to the conjunctiva.

21 DR. BELSITO: So any eye makeup would be  
22 consider mucous membrane exposure?

1                   MR. BOYER: I would assume that's what  
2 they did.

3                   DR. BELSITO: But then the number is  
4 still wrong.

5                   MR. JOHNSON: I'll just check that.

6                   MS. GILL: Yeah, I think it's clear that  
7 we need to go back and check that number to make  
8 sure that's accurate.

9                   DR. BELSITO: Okay. So what we just  
10 heard is that -- and David, correct me if I'm  
11 wrong -- that using more than 0.02 percent of this  
12 would be overkill, yet we're faced with the fact  
13 that if that, in fact, is the case, there is  
14 overkill here. We have it up to 0.5 percent. And  
15 then we have what Europe is doing, which seems to  
16 be driven by a thyroid issue. You know, if, in  
17 fact, this is not used in any lipsticks or oral  
18 products that would be ingested, what we're  
19 hearing from Dan is that this breakdown to  
20 formulate absorbable free iodine when put on the  
21 skin is not a very likely scenario and the  
22 restrictions in Europe are based upon that, this

1 again in the clinics is more of a problem with  
2 irritation. And at that point we're testing with  
3 -- and there was -- I don't remember if it was in  
4 wave two or in the report, Axel Schnukus talked  
5 about what is the right concentration to test this  
6 on? And the Germans I think are now using 0.3.  
7 The North American group looked at 0.1, 0.5. Even  
8 at 0.1 we see a lot of irritation but not really a  
9 lot of sensitization. So, I mean, I don't think  
10 we need to reopen this but we need, if we don't, a  
11 very firm discussion as to why we've chosen not to  
12 reopen it.

13 Dan and Paul, I mean --

14 DR. LIEBLER: Well, I agree with you.  
15 The problem is a firm discussion can't be crafted  
16 here because we have too many unknowns.

17 DR. BELSITO: Well, we know that it's  
18 not used in a lipstick.

19 DR. LIEBLER: Okay.

20 DR. BELSITO: It would appear that the  
21 number of mucous membrane exposures at 102 is not  
22 correct. And the only thing that comes close to

1       it in the list that we have at the end of the  
2       document would be eye cosmetics. I think the only  
3       thing in my mind that we would have to craft in  
4       the discussion, again, my sense is the  
5       restrictions that are being applied in Europe in  
6       terms of the 0.02 in rinse-offs and 0.01 in  
7       leave-ons and not to be used in kids is based upon  
8       this dosing effect that they saw when they gave  
9       0.01 percent free IPBC to two volunteers and the  
10      urinary iodine excretion was, I guess, a baseline.  
11      And then when they gave 0.02 percent they saw  
12      almost a doubling in that iodine excretion  
13      suggesting that when you feed this, you release  
14      free iodine and they're concerned.

15                 But, on the other hand, they did no  
16      thyroid testing to show that that amount of iodine  
17      had an effect. They were basing it off of the  
18      recommended iodine dietary concentrations.

19                 DR. SNYDER: What are the differences  
20      between these two? Because only one patient  
21      stayed. The other one it actually lowered.

22                 DR. BELSITO: Yeah.

1                   DR. LIEBLER: See, it doesn't -- this  
2 table doesn't make any sense. The positive  
3 control should be the 100 microgram iodine oral  
4 dosing, and that hardly made a dent in their  
5 excretion of urinary iodide. And I think the  
6 amount of iodine, the total -- I haven't been able  
7 to do the calculation but it looks to me like the  
8 moles of iodine applied in the IPBC couldn't  
9 possibly account for the bump that they're  
10 reporting in iodide excretion in these patients.  
11 And it makes me wonder whether these -- yeah, it  
12 makes me wonder how these measurements are really  
13 done. Are these data any good?

14                   MR. ANSELL: Well, it's important to  
15 remember that whereas we share common data, it's  
16 possible that there were other elements that went  
17 into the conclusion. For example, European iodine  
18 levels in the diet. It's possible that no one  
19 supported it. You know, that the restrictions  
20 were of current use concentrations and so no one  
21 challenged the data. It's hard to kind of piece a  
22 data point and draw a direct line to any

1 particular conclusion absent a reading that the  
2 whole SECS opinion as to why they did what it is  
3 they did.

4 So I think you guys can look at the data  
5 and draw your own conclusions and not try to piece  
6 together why a different expert group ended up  
7 coming up with the conclusion because there are a  
8 lot of elements that would go into it.

9 DR. BELSITO: Right.

10 MR. JOHNSON: Dr. Belsito, I'd like to  
11 point out that the EU restriction on the use in  
12 deodorants and antiperspirants is even lower --

13 DR. SNYDER: 0.0075.

14 MR. JOHNSON: -- at 0.0075 percent.

15 DR. BELSITO: Right.

16 DR. SNYDER: Why would you use it in a  
17 deodorant or antiperspirant? It's antifungal.  
18 Logically, it makes no sense to use it for that  
19 purpose.

20 DR. BELSITO: Okay. So yeah, so what  
21 Christina is saying is the 102 comes from a  
22 combination of other cleanliness products, bubble

1 baths, and bath soaps.

2 Thank you, Christina. So that would be  
3 rinse-off. Okay. So, I mean, from a skin  
4 standpoint I don't think we need to reopen it. I  
5 guess what I'm hearing from Dan and Paul, please  
6 comment if you like, but we're not seeing a reason  
7 to reopen this report at this point; right?

8 DR. LIEBLER: Right.

9 DR. BELSITO: And then in the discussion  
10 you just need to address that we don't think that  
11 the release of free iodide from commercial  
12 preparations would result in any significant  
13 thyroid toxicity. Is that the right word?

14 DR. LIEBLER: Yeah. And I don't think  
15 we directly need to respond to this table of these  
16 two volunteers, do we?

17 DR. BELSITO: No, I mean, we don't need  
18 to directly respond to anything other than to, you  
19 know, I think we need to note --

20 DR. SNYDER: We were aware of it.

21 DR. BELSITO: -- that at least part of  
22 the issue of the SECS was potential thyroid

1 effects because they're doing, you know, it's  
2 reported to be used in 14 baby products. We don't  
3 know the concentration of use, and they're saying  
4 it shouldn't be used in children less than three  
5 years of age for any product intended for use on  
6 an infant population. So, I mean, I do think we  
7 need to at least when we say we're not reopening  
8 say, okay, we're aware that Europe has restricted  
9 this to 0.02 percent in rinse-offs, 0.01 in  
10 leave-ons except underarm deodorants where it's  
11 0.0075 and that it shouldn't be used infants less  
12 than three years of age. However, in reviewing  
13 the data, you know, we don't feel that release of  
14 free iodine and thyroid toxicity will be an issue  
15 and this ingredient is used in cosmetics and we  
16 see no irritation or sensitization data that would  
17 suggest that the current levels are unsafe and we  
18 elected not to reopen it.

19 DR. SNYDER: The basis of the 0.01  
20 previously was comedogenicity.

21 DR. BELSITO: Well, that was the highest  
22 level. How was it used? Because it's -- back in

1 the old report we just had ranges. So, in fact,  
2 in this report we didn't even have ranges at that  
3 point. We just had total number of formulations.  
4 So that was back when we were stuck not even  
5 knowing how it was used. So here, I mean, where  
6 we know that it's not even used up to 0.01, I  
7 mean, the highest we have is 0.05.

8 Now, I mean, do you -- I still don't  
9 think that that's a reason to reopen it. We had  
10 here for sensitization in the old report --

11 DR. SNYDER: (Inaudible) as a basis for  
12 the limit?

13 DR. BELSITO: You know, it was a very  
14 difficult time in the life of the panel when we're  
15 trying to rule on safety of ingredients and we had  
16 no idea of concentration ranges and we were  
17 setting limits based upon what was the weakest  
18 link in the chain and what level did we have  
19 there. So I think we had this comedogenicity  
20 study and 0.01 percent and we said, okay, well,  
21 we'll limit it there. You know, we had some  
22 sensitization data and irritation data at higher

1 levels that were okay and were looking for a level  
2 to set it at.

3 DR. SNYDER: Well, that's my only issue  
4 is, is it still valid to have that 0.01 percent  
5 limit if it's safe as used and it's not been used  
6 above that?

7 DR. BELSITO: 0.05? Well, I mean, I  
8 don't know the dynamics of how we would -- I mean,  
9 we'd say we're not reopening, unless you wanted to  
10 reopen to reduce the concentration that we say is  
11 safe from 0.01 to less than 0.05. But again, I  
12 don't think we have data to tell us that that we  
13 can say that 0.01 is not safe. So I just don't  
14 think we need to reopen it and what we really need  
15 to address is, you know, our understanding -- that  
16 we're aware that Europe has restricted it, changed  
17 concentrations. We don't think thyroid toxicity  
18 endpoint is an issue and we see no sensitization  
19 and irritation data that would suggest that the  
20 current use levels are creating issues in that  
21 arena as well. Period and amen.

22 MR. ANSELL: Yeah. This came up because

1 of a 15- year cycle. If we had some alternative  
2 approach as we have discussed previously, would  
3 this have made the cut? I mean, there's no other  
4 information.

5 DR. SNYDER: Yeah, I agree. But I think  
6 studying back, if we reviewed this today we would  
7 be safe as used.

8 DR. BELSITO: Right. I agree.

9 DR. SNYDER: And so I'm just having  
10 somewhat of an unjustified limit based upon old  
11 data and old procedures that drove them to  
12 basically set an artificial -- somewhat artificial  
13 limit based upon comedogenicity.

14 DR. LIEBLER: Well, if we had unlimited  
15 time or resources we could go back and do it  
16 "right" and work around to the past but it's just  
17 not worth the time and effort. We're not going to  
18 really change anything because the old limit is  
19 equivalent to what's being used.

20 MS. GILL: Yeah. I would recommend not  
21 opening it and leaving it at the limit.

22 DR. BELSITO: Okay. So we're not going

1 to reopen.

2 DR. SNYDER: But there'd have to be much  
3 more lengthy discussion about -- in the re-review  
4 document regarding why the limit was set and why  
5 the iodine issue is not an issue.

6 MR. JOHNSON: Why --

7 MR. ANSELL: Well, was it predicated on  
8 --

9 DR. SNYDER: A previous limit.

10 MR. ANSELL: Because the previous limit  
11 already considered the EU limitations. I think  
12 the issue, at least in my mind, is that nothing  
13 has changed in the last 15 years that would  
14 justify reopening it, not resurfacing all of the  
15 discussions from '95 unless you feel that  
16 something you did back then was wrong. So I think  
17 the discussion is that little had changed in the  
18 last 15 years which would justify reassessment.

19 MS. GILL: But I think there should be  
20 some mention in the discussion that talks about  
21 the EU because they have changed. And I think for  
22 the panel to acknowledge that in the discussion

1 and then say why it's not pertinent here.

2 DR. BELSITO: Yeah, I agree.

3 DR. SNYDER: I had a question mark as to  
4 when they made that. Do we know when it was?

5 DR. BELSITO: Just recently, I think.

6 MS. BURNETT: 2004?

7 MR. STEINBERG: No, I think the major  
8 change was I think 10 years ago.

9 DR. BELSITO: Ten years ago?

10 MR. STEINBERG: Yeah. I can get you the  
11 exact dates but it was about 10 years ago was when  
12 they came out with this.

13 MR. ANSELL: Yeah, but then it's clearly  
14 appropriate.

15 DR. BELSITO: Okay. Well, I mean, in  
16 the old report we had an HRIPT on 95 patients at  
17 0.125 percent that was negative, and although we  
18 talk about the comedogenicity in the discussion, I  
19 think that also was supporting our job.

20 Okay. So we're not going to reopen.  
21 We'll take a look at the discussion, and basically  
22 the two discussion points would be that we don't

1 think the release of free iodine is an issue. So  
2 we're not concerned about thyroid toxicity and the  
3 0.05 percent doesn't seem to be a sensitizer and  
4 we didn't see the need to change our prior  
5 conclusion.

6 Okay. Re-review summaries. That's in  
7 the administrative book past all the minutes here.

8 Okay. So that's going to be on 18 of  
9 the PDF. I don't think I had any comments. I  
10 thought they were fine.

11 DR. LIEBLER: Same here.

12 DR. SNYDER: I just had one to the  
13 retinyl palmitate --

14 DR. BELSITO: Okay.

15 DR. SNYDER: -- discussion. Instead of  
16 "recognize the public media visibility (inaudible)  
17 new study," I just said for retinyl palmitate,  
18 "The panel thoroughly reviewed a 2012 NTP  
19 photocarcinogenicity study completed by the NTP."  
20 And just leave it at that. I don't think we need  
21 -- and then talk about -- I don't think we need to  
22 put in there the public media visibility concern,

1 the new studies, and all that. We reviewed it.  
2 We found flaws in it. I don't think that it  
3 matters much.

4 DR. BELSITO: So you're suggesting that  
5 it be changed that the panel reviewed the  
6 photocarcinogenicity study completed?

7 DR. SNYDER: Yeah.

8 DR. BELSITO: Dan, are you okay with  
9 that?

10 DR. LIEBLER: Which paragraph are you  
11 changing? Under the discussion?

12 DR. BELSITO: Discussion, the first  
13 sentence. Instead of saying we recognized all the  
14 public hype about these, simply say for retinyl  
15 and retinyl palmitate the panel reviewed the  
16 photocarcinogenicity study completed by NTP.

17 DR. LIEBLER: Okay. Fine.

18 DR. BELSITO: Anything else? Okay, well  
19 that was good. Okay. So now we're going to  
20 isethionate. So we got updated use concentration  
21 that the isethionate was not used in a powder but  
22 a wipe and that it was 2.5 percent and not 3

1 percent in that wipe. That was, I think, the only  
2 wave two data that we got. And okay, so based  
3 upon that, on page 20 of the PDF it says, "The  
4 panel discussed the issue of incidental inhalation  
5 exposure from suntan preparations and baby care  
6 products." I'm assuming that we can delete baby  
7 care products. That was the wipe. And then also  
8 "and up to 3 percent in other products that may  
9 become airborne." That can be deleted. It's not  
10 used as a powder.

11 Do you see where I'm at?

12 MS. BURNETT: Mm-hmm.

13 DR. BELSITO: At the end of that  
14 paragraph, just before the conclusion, a detailed  
15 discussion summary of the panel's approach, I  
16 thought that that came out -- we talk about  
17 inhalation and then we talk about other things and  
18 then we come back to this, that that last sentence  
19 should be moved up to after the inhalation. So  
20 local respiratory systemic side effects, period.  
21 A detailed discussion and summary of the panel's  
22 approach, yada, yada, yada. And then the next

1 sentence, "The panel considered other data  
2 available to characterize the potential advice of  
3 binate salts." I thought that should be a  
4 separate paragraph. You know, keep all of the  
5 inhalation stuff together.

6 DR. SNYDER: Yeah, so along the same  
7 lines of discussing inhalation. So on that  
8 paragraph that starts the last paragraph before  
9 the conclusion section, "The panel discussed the  
10 issue of incidental inhalation exposure," and then  
11 the second sentence says, "There were no  
12 inhalation toxicity data available." And then in  
13 the next sentence we say "in the inhalation  
14 studies." We've got to be careful there that we  
15 can't just plug a boilerplate in. We've got to  
16 verify that we had data because we plugged in that  
17 boilerplate statement. You know, high doses of  
18 respirable particles in inhalation studies do not  
19 indicate risk, but there were no inhalation  
20 studies. So we've got to be careful about that.

21 DR. BELSITO: So we need to get rid of  
22 that sentence?

1 DR. SNYDER: Yes.

2 DR. BELSITO: Anything else?

3 DR. SNYDER: This is the one we were  
4 going to talk about the abstract and the  
5 boilerplate.

6 DR. BELSITO: Yeah.

7 DR. SNYDER: And this is actually a good  
8 one because the abstract actually incorporates  
9 that statement about data gaps.

10 DR. LIEBLER: So I had a comment about  
11 that. The term "bio handling" is basically slang,  
12 and we kind of understand on this panel because  
13 Ron uses it, Ron Hill uses the term and I know  
14 what he means, but I don't think a reader would  
15 necessarily understand it. So I'm proposing we  
16 replace bio handling with similarities and  
17 physical and biological properties or  
18 physiochemical properties. So in the abstract,  
19 for example, instead of "and the expected bio  
20 handling enabled grouping," you would say, "and  
21 the expected similarities in physiochemical  
22 properties enable grouping."

1 MS. BURNETT: Can you repeat that one  
2 more time, please?

3 DR. BELSITO: Physio or physico?

4 DR. SNYDER: Physico.

5 DR. BELSITO: Okay.

6 DR. SNYDER: Physico chemical? Okay.

7 DR. LIEBLER: Physico, physio?

8 DR. BELSITO: Well, physic to me is more  
9 structural; physio is more biologic.

10 DR. LIEBLER: Okay. Anyway, either of  
11 those would be fine. Physico is fine.

12 Similarities in physic chemical  
13 properties. I'm changing it on my copy here.

14 DR. BELSITO: Okay.

15 DR. LIEBLER: So this would also come up  
16 in the discussion so that the language in the  
17 abstract though would change that sentence. The  
18 phrase that's relevant here is "and the expected  
19 bio handling" would be changed to "and the  
20 expected physicochemical properties enable  
21 grouping."

22 DR. BELSITO: Well, not the expected.

1 The physical chemical properties.

2 DR. LIEBLER: Well, in some cases  
3 they're known, and then the gaps that you're  
4 reading across are the expected. So that's why I  
5 left expected in.

6 DR. BELSITO: Okay. So what line are  
7 you on?

8 DR. SNYDER: Or predicted?

9 DR. LIEBLER: The third line of the  
10 abstract.

11 DR. SNYDER: Would predicted be better  
12 than expected?

13 DR. LIEBLER: Yeah.

14 DR. BELSITO: And the predicted?

15 DR. LIEBLER: Predicted is fine. I like  
16 that.

17 DR. BELSITO: Okay.

18 DR. SNYDER: So going back to the  
19 abstract, so this is a good one for Christina to  
20 capture the three things that we said the abstract  
21 has to have -- what we've reviewed, what we found,  
22 and then what was our conclusion. And so we

1 reviewed -- and I think we can make it even  
2 better, "the product formulation and safety data  
3 of 12- isethionate salts." So I added in the  
4 product formulation and safety data because we  
5 always do updated product formulation as a part of  
6 our review, not just safety data.

7 DR. BELSITO: Product formulation and --

8 DR. LIEBLER: And safety data of  
9 12- isethionate salts. And then I had the same  
10 issue with the expected bio handling that we've  
11 already resolved. And then our conclusion. So I  
12 think that's -- you should do that on all of those  
13 -- on all the (inaudible) use that system. And  
14 all of these have these data gaps but they may  
15 have issues that came up on how we resolved those  
16 issues.

17 DR. SNYDER: So, Paul, you're proposing  
18 substituting product formulation and safety data  
19 for toxicological data?

20 DR. BELSITO: No. The Cosmetic  
21 Ingredient Review Expert Panel reviewed the  
22 product formulation and safety data of

1 12-iseothionate salts.

2 DR. SNYDER: Okay, good. That's good.

3 MR. ANSELL: And we'll swing back to  
4 this in the retanical template but --

5 DR. SNYDER: Well, then it's a slippery  
6 slope.

7 MR. ANSELL: Well, I think one of our  
8 comments is just that the structure of the  
9 abstract should include the review of what's found  
10 and the conclusion. So we like that part of the  
11 proposal.

12 DR. BELSITO: Anything else? Okay.

13 DR. SNYDER: I have a question for Dan.

14 (Inaudible) chemistry here, so bear  
15 with me.

16 DR. LIEBLER: Which page?

17 DR. SNYDER: Under chemistry definition  
18 of structure.

19 DR. LIEBLER: What PDF page are you on?

20 DR. SNYDER: I have a Word document.

21 MS. BURNETT: Fourteen.

22 DR. SNYDER: So the second sentence says

1 "the ingredients in the report are related by."  
2 Should it be more perfect to say "they share a  
3 common 2-hydroxy" instead of related by?

4 And then further down, "these chemicals  
5 have the classical structural components," or  
6 should it be "typical structural components of  
7 surfactants"?

8 I just -- it kind of stuck out to me as  
9 a chemist.

10 DR. LIEBLER: I like both of your -- I  
11 like both of your edits and they're not really  
12 about chemistry, so, but I like them.

13 DR. SNYDER: I just didn't, you know,  
14 they're not really related. They may share a  
15 common core or something but --

16 DR. LIEBLER: Actually, I corrected sort  
17 of an incorrect statement here that is chemistry.

18 DR. BELSITO: So share a common core?

19 DR. SNYDER: No, just share a common and  
20 then it gives a structured name there.

21 DR. BELSITO: Common  
22 2-hydroxyethanesulfonic acid?

1 DR. SNYDER: Yeah.

2 DR. BELSITO: Okay. And then what was  
3 your next comment?

4 DR. SNYDER: The last sentence right  
5 before the structure. Instead of classical you  
6 just say a typical structural component of  
7 surfactant.

8 DR. LIEBLER: So I did correct one thing  
9 because the sentence contains the word simple  
10 alkyl esters or mixtures of simple alkyl esters of  
11 2-hydroxyethanesulfonic acid, that implies that  
12 the esterification is on the ethanesulfonic acid  
13 part and it's actually not. So I just reworded it  
14 to --

15 DR. BELSITO: Where are you, Dan? I'm  
16 sorry.

17 DR. LIEBLER: Under chemistry, same  
18 paragraph where Paul just made his two little  
19 changes.

20 DR. BELSITO: Right.

21 DR. LIEBLER: The second to last  
22 sentence. "While the rest of the ingredients in

1       this report are simple," and I just changed simple  
2       alkyl esters for mixtures of simple alkyl esters  
3       or -- I changed that phrase to fatty acyl esters  
4       formed with 2-hydroxyethanesulfonic acid. So it's  
5       clear from, at least chemically correct, to  
6       characterize these as esterified between the fatty  
7       acyl ester -- so basically, the fatty acyl esters  
8       for the hydroxyethanesulfonic acid provides the  
9       alcohol piece. That's all. Because the way it  
10      was written it meant the complete opposite.

11                 DR. BELSITO: So could you read that  
12      again for me, please?

13                 DR. LIEBLER: Sure.

14                 DR. BELSITO: While the rest of the  
15      ingredients in this report are --

16                 DR. LIEBLER: Are fatty acyl esters  
17      formed with 2- hydroxyethenesulfonic acid.

18                 DR. BELSITO: Fatty acyl A-C-Y-L?

19                 DR. LIEBLER: Right. Esters formed with  
20      2- hydroxyethanesulfonic acid.

21                         So the previous language implied the  
22      wrong structure.

1 DR. BELSITO: Formed with  
2 2-hydroxyethanesulfonic acid. Anything else?  
3 Okay. So alkyl amides. This is  
4 Christina also. So in June, we determined that  
5 the available safety data were insufficient. We  
6 are evaluating these. We requested additional  
7 irritation sensitization data for lauroyl lysine  
8 at the highest use concentration, 45 percent, and  
9 lauroyl glutamate at the highest use  
10 concentration, 40 percent. We discussed  
11 differences in the absorption of disodium malatine  
12 finite compared to other ingredients, but decided  
13 the toxicological consequences would be minimal.  
14 We got HRIPT data on sodium lauroyl glutamate on  
15 concentrations of 22 and 30 percent in the report.  
16 And the question is are we okay with what we have  
17 now? We did not -- and then we got wave two data,  
18 sorry, on skin irritation for lauroyl lysine at 5  
19 and 20 percent in olive oil. Maximization tests  
20 for lauroyl lysine at 50 percent in olive oil.  
21 Repeated (inaudible) testing 8.36 percent of  
22 lauroyl lysine, 600 panel-predicted patch testing,

1 12.5 percent lauroyl lysine. And then for the  
2 glutamate we got some irritation data -- 5 percent  
3 distilled water and the maximization test induced  
4 at 5 and challenged at 2.5. And use concentration  
5 on this --

6 DR. LIEBLER: Of the glutamates. I  
7 thought we were still short.

8 DR. BELSITO: I thought that it was okay  
9 for leave-ons and 4 percent rinse-offs to 40  
10 percent. The uses that we have here now are -- I  
11 thought were in line with that.

12 So for --

13 DR. LIEBLER: So the high concentrations  
14 for the glutamates look like they're rinse-offs.

15 DR. BELSITO: Right.

16 DR. LIEBLER: And is that -- is that the  
17 reason you're okay with the sensitization data at  
18 the lower concentrations?

19 DR. BELSITO: Yes.

20 DR. LIEBLER: Okay. Then I'm fine with  
21 it, too.

22 MS. BURNETT: I'm sorry, Dr. Belsito.

1 Can you repeat what your finding is?

2 DR. BELSITO: I thought that they were  
3 safe as used when formulated to be nonirritating.  
4 And I actually -- the highest tested 5 percent was  
5 a mild irritant. It's used in leave-ons at 4  
6 percent and rinse-offs at 40 percent, so my only  
7 concern was a possible irritation at 4 percent.

8 DR. SNYDER: What was the highest use?

9 DR. BELSITO: Highest use in leave-ons  
10 that I saw was 0.4 percent. This is one where I  
11 printed out -- well, I printed out these tables I  
12 told you, Paul, for all of them but I didn't bring  
13 the copies so it makes it hard. There were so  
14 many ingredients. But that's what I wrote in my  
15 notes here.

16 Yeah, the highest is sodium lauroyl  
17 glutamate, 4 percent in the leave-on and 40  
18 percent in a rinse-off. That's page 57 of the  
19 PDF. And we have for the sodium lauroyl  
20 glutamate, mild irritant when tested up to 50  
21 percent distilled water and a sensitization guinea  
22 pig -- modified maximization testing in guinea

1 pigs induction at 5 percent and challenged at 2.5  
2 percent was negative.

3 DR. SNYDER: We have a 45 percent use in  
4 lipstick?

5 DR. BELSITO: I didn't see that.

6 MS. BURNETT: That's for lauroyl lysine.

7 DR. SNYDER: Oh, lauroyl lysine. Okay.

8 DR. BELSITO: Right. And we have a  
9 negative 50 percent in olive oil for that one.

10 DR. SNYDER: That was the only one I had  
11 liked because it was so high.

12 DR. BELSITO: So I basically said safe  
13 as used when formulated to be nonirritating just  
14 to cover the fact that we don't have an irritation  
15 threshold. We know that 5 percent is mildly  
16 irritating and 4 percent is the highest use for  
17 the glutamate.

18 And if you're comfortable with that then  
19 in the discussion due we need to say something  
20 about TEA amine impurities and nitrosation?  
21 Because TEA is --

22 DR. SNYDER: I had that where we tagged

1 that any available on disodium malylyl tyrosinate  
2 (inaudible) kind of left hanging out there.

3 DR. LIEBLER: It didn't come from me. I  
4 have no concerns about that one. I mean, it's  
5 just a little different derivative than many of  
6 the others but it's nothing that would cause me  
7 any concern. We've already included it.

8 But Don, back to your question about  
9 nitrosation, where are you in the document? Are  
10 you in the discussion?

11 DR. BELSITO: Well, one of the  
12 ingredients that we're looking at is sodium TEA  
13 lauroyl collagen amino acids, TEA lauroyl keratin  
14 amino acids, TEA cocoyl alaninate. There's a lot  
15 of triethanolamine containing compounds here. So,  
16 you know, my question in the discussion is do we  
17 need to say anything about these TEA containing  
18 compounds, about potential amine impurities and  
19 nitrosation in our discussion?

20 DR. LIEBLER: I guess in principle  
21 there's a chance of trace amount of a second amine  
22 might be present in these but we have some

1 essentially boilerplate language that we use for  
2 that, don't we?

3 DR. BELSITO: Yeah.

4 DR. LIEBLER: More than one sentence?

5 DR. BELSITO: Okay. So we need to add  
6 to the discussion the amine impurities nitrosation  
7 boilerplate?

8 DR. LIEBLER: That's fine. I have a  
9 proposal to free up some space.

10 DR. BELSITO: Okay, hold on.

11 MS. GILL: We can go to that  
12 (inaudible).

13 DR. BELSITO: Pardon, Lillian?

14 MS. GILL: I was saying we can go to  
15 that report and pull out the boilerplate language  
16 we used for TEA.

17 DR. BELSITO: Okay. So my proposal  
18 before we get into any other is that we change the  
19 draft conclusion to "the CIR Expert Panel  
20 concluded that the available data are sufficient  
21 to make a determination that the amino acid alkyl  
22 amines listed are safe under the intended

1 concentrations of use when formulated to be  
2 nonirritating." And then a list of the  
3 ingredients.

4 Okay, Dan, I'm sorry.

5 DR. LIEBLER: Yeah. My comment is on  
6 the discussion, so PDF page 29. It's the second  
7 to last paragraph.

8 "The panel noted the uncertainty  
9 regarding the method of manufacturing," and it's  
10 the issue of enzymatic hydrolysis versus acid or  
11 base hydrolysis. And although in principle it's  
12 true that enzymatic hydrolysis could yield  
13 residual peptides that might have some adverse  
14 effects, I think that it's probably not -- this  
15 language probably is not very applicable or at  
16 least could be shortened a lot in this section  
17 because you're basically making these amino acids  
18 and then azolating the amino groups on these. And  
19 doing enzymatic hydrolysis to make these seems  
20 like it would be so expensive and inefficient,  
21 which I suspect is probably not even done. So I  
22 would say that we could shorten that paragraph.

1       Instead of going into the detail about what kinds  
2       of residual peptides would be made we would simply  
3       say that the panel suggests that cautions be taken  
4       to avoid contamination of the products with  
5       partially -- with peptides with peptide  
6       contaminants and not waste this much discussion  
7       talking about the methods. Because this is a  
8       different situation from like wheat hydrolyzed  
9       protein kinds of ingredients where then we have  
10      the issue of, well, they could have done it a  
11      couple of different ways. Here, I think it's  
12      unlikely that enzymatic hydrolysis is used to make  
13      the amino acid precursors in the first place.

14                 DR. BELSITO: Okay. So simply say, "The  
15      panel noted the uncertainty regarding method of  
16      manufacturing. The panel stated that industry  
17      should manufacture amino acid alkyl amides in a  
18      way that ensures that no residual peptides  
19      remain."

20                 DR. LIEBLER: Correct.

21                 DR. SNYDER: Or the hydrolysis  
22      procedures.

1 DR. LIEBLER: Just keep it as short as  
2 possible.

3 DR. BELSITO: Short and sweet.

4 DR. LIEBLER: Right.

5 DR. BELSITO: So in the absence of  
6 further clarification, "The panel stated that  
7 industry should manufacture." That's much more to  
8 the point. You can't miss it.

9 Thanks, Dan. Anything else?

10 DR. LIEBLER: My next question would be  
11 can you ensure that no residual peptides remain  
12 (inaudible) the last part of that. Can you ensure  
13 that no peptides remain or should it be minimized  
14 or something? I mean, does it (inaudible)  
15 expectation to say no?

16 DR. BELSITO: Little or no. Is that  
17 fine?

18 DR. LIEBLER: Well, in a way to minimize  
19 residual peptide content.

20 DR. BELSITO: Minimizes residual?

21 MR. ANSELL: Yeah, in a way that  
22 minimizes residual peptide content.

1 DR. BELSITO: Okay. So that -- what now  
2 becomes the last paragraph in the discussion,  
3 since we got rid of the requested data, "The panel  
4 noted the uncertainty regarding method of  
5 manufacturing. In the absence of (inaudible)  
6 clarification, the panel stated that industry  
7 should manufacture amino acid alkyl amides in a  
8 way that minimizes -- that minimizes residual  
9 peptides." Right?

10 DR. SNYDER: Peptide contaminations,  
11 something like that.

12 DR. BELSITO: No, just residual  
13 peptides. Do you want to say contamination?

14 DR. SNYDER: Right.

15 DR. BELSITO: I don't like contamination  
16 when it comes to cosmetics. Contaminants almost  
17 seems like you're putting something in there.

18 DR. LIEBLER: That's adulteration.

19 DR. BELSITO: Yeah. Speak for yourself.

20 DR. LIEBLER: Adulteration is with  
21 intent. Contamination is by accident.

22 DR. BELSITO: Okay. Rick?

1 MR. ANSELL: I would say so.

2 DR. LIEBLER: Do we need to ask the  
3 Aggies and the Jesuits for clarification?

4 DR. BELSITO: Yes. Okay. Anything else  
5 here?

6 DR. SNYDER: I had a question for  
7 Christina. We had an issue about the search drive  
8 you use.

9 Do you do search only (inaudible)  
10 because you've got no (inaudible)?

11 MS. BURNETT: No, I searched for  
12 (inaudible). It's how I worded it in the profile.  
13 I think I corrected that.

14 DR. SNYDER: Okay. Thank you.

15 MS. BURNETT: I just focused -- there's  
16 always a carcinogenicity search. It's just to  
17 throw out oral because it's amino acid. You're  
18 going to get all sorts of hits and so (inaudible).

19 DR. SNYDER: So then I have a further  
20 question to Lillian in regards to the -- we don't  
21 really capture in the documents anywhere the  
22 search strategy used. It's in the --

1 MS. GILL: At the very beginning at the  
2 strategy. Yes.

3 DR. SNYDER: Yeah, it's in the strategy  
4 part but we never really capture that in the  
5 document and I'm a little concerned that sometimes  
6 when we say that we use kind of a global term that  
7 no studies were discovered or something. To me I  
8 would like to be more specific. I would like to  
9 say no studies were identified by a literature  
10 search and what we searched, or submitted. And so  
11 it's really two issues. Sometimes we use  
12 discovery to encompass both. We didn't identify  
13 any of our search strategy and we didn't  
14 (inaudible). Yeah, so I think it's in our best  
15 interest to imply more specifically what we did.  
16 We did a thorough literature search, didn't find  
17 anything, didn't identify any studies, and then  
18 also none were submitted.

19 MS. GILL: I think we need to be  
20 consistent because sometimes we do say "and none  
21 were submitted." So it would be more consistent  
22 with that.

1 DR. BELSITO: Okay. Anything else?  
2 Okay. So the next one is alkyl betaines. It's  
3 one that --

4 MS. BURNETT: According to Wikipedia  
5 it's beta because it's derived from beets so it's  
6 supposed to be pronounced beet.

7 DR. BELSITO: Okay. Wikipedia is always  
8 right.

9 MS. BURNETT: Yes.

10 MR. ANSELL: Yeah, we love Wikipedia.

11 DR. BELSITO: Wikipedia is even better.  
12 Okay. So, you know, God bless you, Christina, for  
13 having to go through these ECHA documents because,  
14 boy, they are hard to review. You click and then  
15 you have to click again and then you have to click  
16 again and then you have to read and you scroll  
17 down.

18 MS. BURNETT: Ask my officemates how  
19 much of a headache it was for me.

20 DR. BELSITO: Oh, no. I mean --

21 MS. BURNETT: There was language you did  
22 not want to hear from me.

1 DR. BELSITO: I did my own, too. I  
2 mean, this was -- I sort of thought that you  
3 bombed on this great summary. I wish you had sent  
4 this out before I blinded my eyes.

5 MS. BURNETT: I finished it Friday. I  
6 only did the analogs. I didn't get to the actual  
7 betaines itself because I needed to do cross  
8 because I already had data (inaudible).

9 DR. BELSITO: Well, I did the betaines,  
10 too, but not as well as you did the C12-15 or  
11 C12-14. You know, I think when you add all this  
12 data in we're going to find it's sufficient. The  
13 question is do we want to table it? And if so, we  
14 can do that and go to lunch. Or do we want to  
15 look at what Christina has summarized and say it's  
16 sufficient, in which case I don't think we can do  
17 that in 10 minutes, but maybe we can.

18 Did anyone, other than myself, try and  
19 get through all of that ECHA data?

20 DR. SNYDER: I scanned it.

21 DR. BELSITO: I thought by the time you  
22 finish it all it's pretty sufficient to say that

1           these are safe.

2                     DR. LIEBLER: I got this this morning.

3                     MR. ANSELL: Well, I think that's  
4           definitive.

5                             (Recess)

6                     DR. BELSITO: Okay. So when we left  
7           with the fire alarm we were just discussing -- and  
8           I just spoke with Pope Francis. He's agreed to  
9           start beatification for Christina for going  
10          through the ECHA documents.

11                    DR. LIEBLER: It's a long process so  
12          don't get excited.

13                    DR. BELSITO: But I mean, I guess I  
14          scanned them. We could go safe as used but it  
15          sounds like Dan hasn't.

16                    DR. LIEBLER: I just read them during  
17          the lunch break.

18                    DR. BELSITO: Okay. And then there's a  
19          whole other series of betaines, as well.

20                    MS. BURNETT: Correct, but a lot of that  
21          data is already incorporated.

22                    DR. BELSITO: Right.

1 MS. BURNETT: So I don't think there's a  
2 whole lot to add.

3 DR. BELSITO: Right. I mean, I'm fine  
4 safe as used or we could table to await formal  
5 incorporation of the ECHA into the documents. I'm  
6 comfortable either way. I mean, I'm comfortable  
7 going safe as used.

8 DR. SNYDER: The only thing is we have  
9 no impurity data.

10 DR. BELSITO: Okay. We have method of  
11 manufacture though, don't we?

12 MS. BURNETT: No.

13 DR. SNYDER: Nothing.

14 DR. LIEBLER: Can we table it to  
15 incorporate these data even though we think  
16 they'll probably be sufficient and to get  
17 impurities data or method of manufacture? Do you  
18 anticipate that those data would be gettable?

19 DR. BELSITO: I have got impurities,  
20 method of manufacture. We also have no UV but  
21 there are no ring structures so I didn't think we  
22 needed that. We need the aerosol boilerplate.

1 And it looked like there was barrier disruption  
2 caused by some of these, so I suspect that we may  
3 want to put the usual --

4 DR. SNYDER: Irritation?

5 DR. BELSITO: Yeah, well, I think it  
6 would go when formulated to be nonirritating but I  
7 guess if there's no irritation you won't see  
8 barrier disruption, so then penetration wouldn't  
9 be an issue. That's a good point.

10 Yeah, I mean, impurities and method of  
11 manufacture.

12 MS. BURNETT: Or you could -- however  
13 you go, I can get -- since this is already in  
14 table form it's going to exist in table form in  
15 the report, so it's just a matter of carrying  
16 over. I can get (inaudible) put it in pretty  
17 fast, so you can just issue an insufficient data  
18 announcement now and not table it, and then by the  
19 time you see it again it will be complete.

20 MR. ANSELL: We continue to have a  
21 problem with concluding it's insufficient when the  
22 original review just doesn't have stuff that you'd

1       like but were never asked for. So at this point,  
2       you know, we feel that if the first review is  
3       somehow deficient --

4               MS. BURNETT: This is the first review.

5               MR. ANSELL: Right. At had the first  
6       review it would be sent back to staff to search  
7       for things and ask for things that you think  
8       should be there. And not conclude that it's  
9       insufficient because the staff did or didn't  
10      conclude --

11              MS. BURNETT: We weren't saying  
12      concluded. Just issue an announcement asking for  
13      --

14              DR. BELSITO: Right. Because the data  
15      are currently insufficient.

16              MS. BURNETT: So that we have --

17              DR. BELSITO: I mean, it's not a final.

18              MR. ANSELL: No. It just suggests that  
19      it was deficient, and we fully support the idea  
20      that the staff should be able to go through the  
21      data and conclude what they think is important and  
22      not important. But in the first review, to

1 conclude that it's insufficient somehow puts the  
2 onus on industry to come up with this data when,  
3 in fact, it may be there. It could have just been  
4 that the staff chose not to include it because --

5 DR. BELSITO: We're not saying -- I  
6 mean, it's not a formal. I mean, just currently  
7 the data are not sufficient to allow for  
8 assessment of safety and what the panel is asking  
9 for, and we've always used the term insufficient,  
10 and these are our data means.

11 MS. GILL: And the data was requested  
12 and what came in was insufficient to make a safety  
13 decision, so the data is insufficient to draw a  
14 conclusion.

15 DR. BELSITO: You know, and it may  
16 actually be there in the ECHA documents. I mean,  
17 there were some tabs that I didn't bother opening.  
18 There were tabs that said impurities, I remember,  
19 and there were tabs that said method of  
20 manufacturing. And quite honestly, I didn't  
21 bother to open them. I looked more at the dermal  
22 irritation sensitization and chronic toxicity. So

1 it may be in those documents.

2 MS. BURNETT: I have this website up  
3 right now.

4 MS. GILL: If it's -- I think if it's  
5 there and it has not had an opportunity to be  
6 included then that's different than we did not  
7 have the data and it was not submitted.

8 So Christina, are you checking to see?

9 MR. ANSELL: I would prefer that in  
10 these circumstances, on the first go-through, that  
11 it be tabled to determine whether the material is  
12 available as opposed to a conclusion that the data  
13 is insufficient. It's just a recommendation.

14 DR. BELSITO: I mean, we're going to  
15 have to re-read the entire document anyway with  
16 the inclusion of all the material because it's  
17 going to be a huge amount of material that will  
18 have been included from the ECHA documents. So I  
19 don't have a problem with tabling it for inclusion  
20 in the ECHA documents. And when you go through  
21 them again, Christina, just check all the tabs for  
22 impurities and method of manufacturing.

1                   MS. BURNETT: Looking at it right now  
2 I'm crying.

3                   DR. BELSITO: Is it there?

4                   MS. BURNETT: If it is, it's not easily  
5 accessible. I will (inaudible) and I think my  
6 concern is going backwards. What I understand is  
7 the procedures were rewritten to cut out a step.  
8 It says when you table it you go back and see  
9 whether or not it is there when you've clearly  
10 asked for it before.

11                   In this case, if it was there and the  
12 site -- we weren't able to get it or now that we  
13 can summarize the data, it may be linked to this  
14 particular ingredient (inaudible).

15                   MS. GILL: And I think my concern  
16 (inaudible).

17                   DR. LIEBLER: So between the Council and  
18 the CIR, maybe you all could work out what you'd  
19 like to call this situation because we basically  
20 are saying we can't go forward until the  
21 information is in hand. And it doesn't matter to  
22 us that much whether it's referred to as tabling

1 the document or saying that it's insufficient.

2 Insufficient without pejorative intent.

3 DR. BELSITO: Right. I think we should  
4 -- I'd like to move ahead. You know, Christina is  
5 looking at it right now. Under manufacturing,  
6 what they're doing is they're labeling for REACH  
7 and they're doing tonnage and how it's used. And  
8 also when you look at the safety tabs, what  
9 they're talking about is more like occupational  
10 exposure. You know, rinse the eyes, wash the skin  
11 with water. So I know those tabs aren't going to  
12 help us because I opened them and they're  
13 basically like a material safety data sheet.

14 MS. BURNETT: It's referring to some  
15 kind of -- I'm looking at manufacture for  
16 betaines. And it says process category. And  
17 there is a bunch of codes.

18 DR. BELSITO: Yeah.

19 MS. BURNETT: (Inaudible) use enclosed  
20 process. No likelihood of exposure. And it gives  
21 a couple more (inaudible). It doesn't tell you  
22 the actual chemical process, however they make it.

1 So in this case the ECHA site is not helpful.

2 (Discussion off the record)

3 MS. BURNETT: So we're going to have to  
4 have outside help finding this because it's not --  
5 I mean, I've looked --

6 DR. BELSITO: Okay.

7 MS. BURNETT: -- my searches have  
8 already covered everything else.

9 DR. BELSITO: So --

10 DR. LIEBLER: So the information --

11 DR. BELSITO: -- impurities, method of  
12 manufacturing, we need to insert the aerosol  
13 boilerplate into the cosmetic use and we have to  
14 mention that there was no photo data but we're not  
15 concerned because there are no ring structures  
16 that would likely absorb.

17 DR. LIEBLER: No chromophors.

18 DR. BELSITO: No chromophors. Just  
19 chromophobes.

20 MS. BURNETT: Is that with a P-H?

21 DR. LIEBLER: Yeah, P-H. Chromophors.

22 MS. BURNETT: I figured it was.

1 DR. LIEBLER: So I expect that method of  
2 manufacture and impurities, once they are in-hand,  
3 will be unremarkable. The issue isn't that we  
4 have any suspected concern; it's we just don't  
5 have anything yet. If we do have them, I don't  
6 anticipate it to alter our course of action at  
7 all.

8 DR. BELSITO: Okay.

9 DR. SNYDER: Wheat?

10 DR. BELSITO: Do you want to take this  
11 now --

12 MS. BURNETT: Sure.

13 DR. BELSITO: -- because my corrections  
14 are in here.

15 MS. BURNETT: I'll give it a good look  
16 over and then you can help me with your  
17 handwriting.

18 DR. BELSITO: Yeah. No, it's mainly  
19 just misspellings.

20 MS. BURNETT: Okay.

21 DR. BELSITO: It's nothing major. Okay.  
22 So that's the alkyl betaines. Hydrolyzed wheat

1 protein. Okay. That's another one I did on  
2 paper. Is this yours, too, Christina?

3 MS. BURNETT: Yes.

4 DR. BELSITO: Okay. So you know, I  
5 looked at this and I think that really all the  
6 issues that we're going to have with these  
7 hydrolyzed proteins can be resolved if we say that  
8 they have to be less than 30 kilodaltons or less  
9 than or equal to 30 amino acids long because at  
10 that level they don't seem to bind IgE, which is  
11 the major issue and the thing that was the issue  
12 in Japan. And then I guess the next issue is  
13 should these -- even without restrictions, should  
14 they be put on damaged skin where they could get  
15 absorbed and would they theoretically sensitize to  
16 wheat or gluten? And there was some data in here  
17 and it was in rodents where they were actually  
18 able to sensitize individuals to wheat. Or was it  
19 the Japanese human studies? I can't remember.

20 MS. BURNETT: There was a sensitization  
21 study 24 (inaudible).

22 DR. BELSITO: Yeah. The dermal --

1                   MR. BOYER:  What they are observing in  
2                   human subjects in both Japan and Europe, but  
3                   particularly in Japan there's people who are  
4                   exposed to hydrolyzed wheat protein ingredients  
5                   through the conjunctiva and through the sinuses  
6                   and so forth.  They apparently can develop  
7                   sensitization to hydrolyzed wheat protein.

8                   DR. BELSITO:  Yeah.  And that was the  
9                   eye drop challenge of one patient that we had.  
10                  But yeah, this was a dermal, nonhuman  
11                  sensitization in tape-stripped mice where they  
12                  were able to sensitize the hydrolyzed wheat  
13                  protein.  Now, we don't know the molecular weight  
14                  so, I mean, it's not clear that these were less  
15                  than 30 kilodaltons or less than 30 amino acids,  
16                  but I thought, you know, as we originally did, I  
17                  believe with parabens about not to be used on  
18                  damaged skin, that that might be a caveat.  The  
19                  other question would be inhalation because here  
20                  you would not only worry that it would get down to  
21                  the alveoli; the real inhalation problem would be  
22                  in the nasopharyngeal area.  I thought that we

1       could go safe, not to be used on damaged skin, not  
2       to be used in products that could be inhaled,  
3       limit to less than 30 kilodaltons, and something  
4       the Council raised that I would agree with, a bold  
5       label on packaging of any of these hydrolyzed  
6       proteins indicating warning, this product contains  
7       wheat, gluten, whatever. But that was my thoughts  
8       anyway.

9                 DR. LIEBLER: So I basically agree with  
10       that. And the only modification I'd make, Don, is  
11       about the exclusion of peptides of greater than 30  
12       amino acids. Practically speaking, it's going to  
13       be nearly impossible for them to totally exclude  
14       that or to know whether they have. So I would  
15       change that to say that the mixture should be  
16       prepared to minimize components of greater than 30  
17       amino acids in length. It's just like minimizing  
18       any other contaminant.

19                DR. BELSITO: So you're going to say  
20       minimize --

21                DR. LIEBLER: Minimize --

22                DR. BELSITO: -- peptides greater than

1 30 amino acids?

2 DR. LIEBLER: Right.

3 DR. BELSITO: What about weight?

4 Nothing about weight? Just the amino acid?

5 DR. LIEBLER: You could say either.

6 Thirty amino acids is a ballpark number that  
7 relates in the way you just mentioned to IgE  
8 activation. So you could either say 30 amino  
9 acids or 30 times 150 to give you whatever  
10 kilodaltons that is. But it's easier just to say  
11 30 amino acids or approximately 30 amino acids.

12 You know, the other point I had, and  
13 this is more speculation, but since these issues  
14 with the soap in Japan continue to hydrolyze wheat  
15 protein involved a soap, it's possible that the  
16 issue wasn't necessarily that it had some bad  
17 wheat hydrolysate in it, but it might have been  
18 the way it was formulated with the other  
19 ingredients of soap that made an otherwise  
20 relatively innocuous -- a preparation that would  
21 have been innocuous in another type of product  
22 cause a problem in this product. So perhaps a

1       caution to formulate these to be nonsensitizing or  
2       maybe you're coming at the same issue with not to  
3       be used in damaged skin.

4                 DR. SNYDER:   But I do have a statement  
5       that says, "Surfactants in soap facilitate the  
6       dermal penetration."

7                 DR. LIEBLER:  Yeah.  That's what I was  
8       thinking.  That's what made me think about it.  It  
9       might have been the way that the wheat proteins  
10      were formulated into a product that contains some  
11      other things that would have increased the  
12      likelihood that they would cause a problem.

13                DR. BELSITO:  But the greatest use of  
14      these are in shampoos which are going to have  
15      surfactants.

16                DR. LIEBLER:  Right.  Yeah.

17                DR. BELSITO:  So if we say not to be  
18      used in surfactants, we're going to eliminate  
19      their use essentially.

20                DR. LIEBLER:  No, no, I'm not saying  
21      that but maybe to be formulated to be  
22      nonsensitizing.

1 DR. BELSITO: Yeah, that's I think too  
2 vague. I mean, I think we have data that shows if  
3 there's less than 30 amino acids --

4 DR. LIEBLER: Sure.

5 DR. BELSITO: -- these aren't an issue  
6 and it's a better way of going about it. And then  
7 if we say not to be used in products, you know,  
8 not intended for use in damaged skin, products  
9 that could be inhaled, I think we eliminate, and I  
10 guess what Ivan was saying is probably products  
11 that would be applied to the eye.

12 MR. BOYER: Actually, there is facial  
13 soap in which apparently people wash their eyelids  
14 and so forth with and that contact with the  
15 conjunctiva --

16 DR. SNYDER: (Inaudible) body  
17 moisturizer.

18 MR. BOYER: It seems surface (inaudible)  
19 to some extent why some people are getting  
20 sensitized.

21 DR. BELSITO: So not on damaged skin or  
22 products intended for application to the eye?

1 MR. BOYER: That could work.

2 MR. ANSELL: It would have to be eye  
3 area.

4 DR. BELSITO: Yes.

5 MR. ANSELL: Cosmetics would not.

6 DR. BELSITO: Eyelid, eye area.

7 DR. SNYDER: But then does your size  
8 restriction eliminate?

9 MR. ANSELL: Well, that's kind of, you  
10 know, we have good data on the molecular weight.  
11 I also know from our PEG experience that the  
12 damaged skin can be very confusing to the  
13 community because it doesn't really go to the  
14 extent of damage. Does that mean sunburn,  
15 abraded, atopic? I'm not sure that the molecular  
16 weight distinction -- I mean, how damaged is  
17 damaged skin that we'd be worried about main  
18 materials which qualified based on the molecular  
19 weight?

20 DR. BELSITO: Well, the studies in the  
21 animals were 10 tape strippings. And if I  
22 recollect correctly, from the presentation that we

1 had when we did damaged skin, the tape strippings  
2 is about what atopic skin is like; was that right?  
3 Because they showed that the parabens weren't an  
4 issue on 10 tape-stripped skin and that's why they  
5 were when they were put on third degree burns.  
6 But here, 10 tape strippings did allow  
7 sensitization of those animals. So basically,  
8 damaged skin here is impairment of the stratum  
9 corneum because that's all you did with tape  
10 stripping. You really don't take much of the  
11 living epidermis away. So I think that, yeah, I  
12 guess damage is subject to interpretation. You  
13 can say, oh, that's a third degree transdermal  
14 burn. I don't know how better to say it, you  
15 know. Areas deficient in the stratum corneum?  
16 That takes care of all the mucous membranes,  
17 right? We don't have to specify eye areas. But  
18 that doesn't help the consuming public. Not to be  
19 used on eczematous skin? You know --

20 DR. SNYDER: Where the stratum corneum  
21 is not intact or something. They wouldn't know  
22 that. I mean, you have to give them some

1 reference of some clinical entity; right? Is that  
2 what you're trying to do? Come up with some --

3 DR. BELSITO: Well, I mean, I guess  
4 these aren't guidelines for the public; they're  
5 guidelines to industry. So not to be used in  
6 products intended for application to areas  
7 deficient in the stratum corneum.

8 DR. LIEBLER: I think damaged skin is  
9 okay to use. I mean, I think we're going to  
10 really tie ourselves in knots trying to come up  
11 with some language that encompasses more  
12 specifically the various possibilities for what  
13 could constitute damaged skin.

14 MR. ANSELL: Perhaps in the discussion  
15 if we were clear as to the underlying data which  
16 resulted in that recommendation.

17 DR. LIEBLER: Exactly, because we could  
18 point to the fact that this study with  
19 tape-stripped skin showed sensitization.

20 DR. BELSITO: Well, I'm just now looking  
21 as we're having this discussion. You know,  
22 really, it's mucosal applications as well. So is

1 this used in any --

2 DR. SNYDER: Here.

3 DR. BELSITO: I'm getting there.

4 DR. SNYDER: Fifteen mucous membrane  
5 products, hydrolyzed wheat gluten and hydrolyzed  
6 wheat protein 113 up to 0.1 percent.

7 DR. BELSITO: Mucous membrane?

8 DR. SNYDER: Yeah.

9 DR. BELSITO: It's huge, 118 products  
10 total.

11 MS. BURNETT: That's most personal  
12 cleanliness products. Bubble bath.

13 DR. SNYDER: Well, I think if we frame  
14 it correctly and the main issue is sensitization,  
15 basically peptide contaminants and that they  
16 should limit the amount of peptide contamination  
17 of (inaudible).

18 DR. BELSITO: Yeah, but what we know is  
19 that less than 30 amino acids won't trigger IgE  
20 release. It won't bind to mast cell receptors to  
21 trigger IgE release. We don't know whether -- we  
22 don't know what the hydrolyzed wheat protein that

1 sensitized these mice -- what the composition of  
2 that is. So it's entirely possible that a 20  
3 amino acid hydrolyzed product could cause  
4 sensitization to the whole molecule. We simply  
5 don't have that information. What we know is that  
6 if you're sensitized -- even if you're sensitized,  
7 less than 30 isn't going to trigger IgE. The  
8 converse, whether less than 30 triggers  
9 sensitization we don't know.

10 I think based upon what we have, I think  
11 we have to say it should not be used on damaged  
12 skin and in products that may contact mucous  
13 membranes. And if industry is concerned, if the  
14 manufacturers of those 118 products, you know,  
15 want to show us some data at a certain level or  
16 whatever there's no sensitization occurring with  
17 mucous membrane exposure, you know, we'll consider  
18 that. But at this point, I mean, the data clearly  
19 shows that you can get ocular sensitization and  
20 with tape stripping you can get sensitization.

21 Okay. So to recapitulate where we are,  
22 safe, minimize peptides greater than 30 amino

1 acids in length, not on damaged skin or products  
2 intended or products intended for application to  
3 mucous membranes. Mascaras aren't intended to be  
4 applied there. Or products that may contact  
5 mucous membranes? Is that a good way of saying  
6 it? Not in products that could be inhaled. And  
7 then the question is do products that are going to  
8 contain these hydrolyzed proteins need a clear  
9 warning on them stating like foods do this  
10 contains gluten or this contains peanuts or this  
11 contains soy?

12 MR. ANSELL: I would recommend that the  
13 panel stay away from labeling. Labels and  
14 labeling really cross into a whole regulatory  
15 regime that would have to be considered within the  
16 context of existing FDA regulation. And --

17 DR. BELSITO: I thought that was an  
18 industry comment that you wanted that kind of  
19 label. Did I misread that?

20 MS. GILL: I thought that was, too.

21 MR. ANSELL: I can't find that comment.

22 MR. BOYER: It's wave two and it was

1 from a member.

2 DR. LIEBLER: Oh, that was the  
3 hodge-podge of comments on hydrolyzed proteins.

4 DR. BELSITO: Right. Yeah.

5 DR. LIEBLER: Yeah, so I actually am  
6 cautious about that for an additional reason. In  
7 addition to the point Jay made, which I think are  
8 perfectly valid, but I think the additional reason  
9 is that once you've digested these down, they're  
10 not really gluten or wheat anymore. They're short  
11 sequences that have sequence identity to wheat  
12 proteins but they're derived from wheat proteins,  
13 but it's not like saying it contains wheat or it  
14 contains gluten anymore because it really doesn't.  
15 These things are no longer really wheat. They're  
16 no longer really gluten.

17 DR. BELSITO: I will tell you that as a  
18 chronic label reader by virtue of what I do, I  
19 increasingly see products, for instance, shea  
20 butter, you know, sort of surprised me, should not  
21 be used by people with tree nut allergy. Or  
22 things that have peanut oil should not be used by

1 individuals with known sensitivity to peanuts.  
2 You're seeing that on cosmetic labels already.  
3 I'm fine not going the labeling route and let  
4 manufactures do what they want to do, but  
5 actually, it was an industry point that was  
6 brought up about labeling.

7 MR. ANSELL: Yeah, did it come from us  
8 or was it a company?

9 DR. BELSITO: Yes. It's here. "Please  
10 see below for some comments to feedback to the CIR  
11 regarding the draft assessment and the use of  
12 animal- and plant-derived amino acids." Point 4.

13 MR. ANSELL: Yeah, from a member  
14 company.

15 MS. BURNETT: Yeah, it wasn't you. It  
16 was another company that passed along (inaudible).

17 MR. BOYER: The other thing to consider,  
18 too, is when you're breaking down and when you're  
19 preparing hydrolyzed wheat protein, what you may  
20 be left with are peptides that are long enough to  
21 still represent epitopes. In fact, the research  
22 seems to indicate that the epitopes in those

1 hydrozulates are very similar. They react in a  
2 very similar manner to the epitopes that you find  
3 in intact proteins and intact gluten and so forth.  
4 And in fact, when you break up proteins, you can  
5 end up with a mixture. You can end up with a  
6 mixture of fairly large polypeptides that can then  
7 intermingle. They can basically aggregate and so  
8 forth so you end up with epitopes.

9 DR. LIEBLER: So my interpretation of  
10 this, because I did note those same concerns, my  
11 interpretation of those studies is that they're  
12 all done with hydrolyzed products. Right?

13 MR. BOYER: I think so.

14 DR. LIEBLER: They're all done with  
15 hydrolyzed products. So the thing that you can't  
16 know in that case is whether or not there is  
17 residual unhydrolyzed material that produced the  
18 observed effect as opposed to attributing it to  
19 the hydrolyzed material. And the only way to do  
20 that experiment right would be to actually  
21 synthesize the shorter pieces and determine with  
22 purified synthetic shorter pieces whether or not

1       they produced these effects or not. That would be  
2       the right experiment to do. And I don't think  
3       that was done. I think it was these hydrolysates  
4       that were incompletely characterized in the  
5       conclusions. And if I got that as a paper to  
6       review that is what I would say as a reviewer.  
7       They cannot conclude that these shorter products  
8       actually have the biological activities that they  
9       attribute to it because they cannot exclude the  
10      residual presence of longer products.

11               MR. BOYER: That's very true. In fact,  
12      all of these are brought up from a point of view  
13      of a hypothesis.

14               DR. LIEBLER: Right.

15               DR. BELSITO: Okay. And the only other  
16      comment that I had, Christina, is on page 24 of  
17      the PDF. It says a 25 percent aqueous solution.  
18      Now, we were told in the beginning that that's how  
19      these are supplied, as a 25 percent aqueous  
20      solution. So is this 25 percent of 25 percent?  
21      Or is this the actual product that is provided to  
22      companies to blend into their products?

1 MS. BURNETT: It would probably be seen  
2 as 25 percent solution.

3 DR. BELSITO: So it's what's actually  
4 supplied by the manufactures to companies to then  
5 blend in. So it's actually 25 percent, not  
6 one-fourth of 25 percent?

7 MS. BURNETT: Right. I believe so.

8 DR. BELSITO: Okay.

9 MS. BURNETT: Without having the data.  
10 Yep.

11 DR. BELSITO: Okay.

12 DR. SNYDER: So --

13 DR. BELSITO: So I just put a note to  
14 check that. Otherwise, I really didn't have  
15 anything. Yeah. No, I think that they're  
16 probably what is actually supplied by the  
17 manufacturers of hydrolyzed wheat protein because  
18 it says someplace in the document that it's -- the  
19 final product under method of manufacturing. The  
20 final product is a 25 percent water solution of  
21 hydrolyzed wheat protein and then when you look at  
22 here they all say 25 percent aqueous solution.

1 DR. SNYDER: So (inaudible) it's well  
2 below the molecular rate we're saying; right?

3 DR. BELSITO: Well, it's about where  
4 we're setting it, yeah, because 350 kilodaltons  
5 was said to be equivalent to approximately 30  
6 amino acids. So that's (inaudible) good too in a  
7 sense that it looks like where we want them to be.

8 DR. SNYDER: And that didn't list it  
9 anywhere (inaudible).

10 DR. BELSITO: Right.

11 DR. SNYDER: So that's more data to  
12 support that that is a good cutoff.

13 DR. LIEBLER: Wait a second. Thirty  
14 amino acids times an average of 150 molecular  
15 weight is 4,500. So 4.5 kilodaltons would be your  
16 30 amino acid average cutoff.

17 DR. SNYDER: (Inaudible) study data that  
18 said the polypeptides less than 30 kD could not  
19 (inaudible).

20 DR. BELSITO: Right.

21 DR. LIEBLER: So I think we should --

22 DR. SNYDER: Thirty amino acids or 30 --

1 DR. LIEBLER: Thirty kDs.

2 DR. BELSITO: They said 30 kD and then  
3 they also said less than or equal to 30 amino  
4 acids.

5 DR. LIEBLER: Well, those are very  
6 different numbers.

7 DR. BELSITO: Okay.

8 DR. LIEBLER: These are very different  
9 size molecules you're talking about. Thirty kD,  
10 you know, is 30,000.

11 DR. SNYDER: Right.

12 DR. LIEBLER: Divided by 150.

13 DR. SNYDER: Is 20.

14 DR. LIEBLER: Equals 200 amino acids.  
15 Thirty thousand molecular weight divided by 150 is  
16 200.

17 DR. BELSITO: So that's why amino acids  
18 is probably a better number to go with.

19 DR. LIEBLER: Yeah. That's why I was  
20 saying -- and the other thing about that, 30 amino  
21 acids is 30 amino acids. If you guess a  
22 kilodalton weight, then it depends on what number

1       you assume for the average amino acid weight and  
2       so on. If we decide to use 30 amino acids, we can  
3       just use that. If we decide to use a kilodalton  
4       equivalent of 30 amino acids it would be 30 times  
5       150, which would be 4.5 kilodaltons.

6                 DR. BELSITO: I like 30 amino acids.

7                 DR. LIEBLER: Yeah.

8                 DR. SNYDER: But it still appeared to me  
9       that the ingredient they're using in formulation  
10       is well below that.

11                DR. BELSITO: Well, it's about -- yeah,  
12       it's slightly less than 30 amino acids.

13                DR. LIEBLER: Yeah, that's true. The  
14       range is about 5 to about 30 amino acids for  
15       these.

16                DR. SNYDER: So maybe we need to expand  
17       the discussion about the manufacturer or the user.

18                DR. BELSITO: Well, we just point to  
19       those studies where at a molecular weight of 350  
20       there were no issues supporting our view that  
21       these products should be minimized amino acids  
22       greater than -- or peptides greater than 30 amino

1 acids.

2 DR. LIEBLER: Right. This is actually  
3 -- I put a note in my copy here to Christina -- is  
4 to suggest that you actually start the discussion  
5 with the nature of -- describing the nature of the  
6 ingredients that compared to amino acids that  
7 these are mixtures of polypeptides ranging from  
8 about 4 amino acids to about 30 amino acids in  
9 length. Or I'm sorry, about 4 amino acids to up  
10 to 200 amino acids in length with a median size of  
11 X. And that's the only thing that --

12 DR. SNYDER: We have that in here.

13 DR. LIEBLER: That's the Table 2?

14 DR. SNYDER: No, this is under the  
15 chemistry with the average micro weight of amino  
16 acids 135 daltons and they range from 4 to 220  
17 amino acids in length.

18 MS. BURNETT: You're saying put that in  
19 the discussion?

20 DR. LIEBLER: Yeah, I'd say explain that  
21 up front so that the reader understands that these  
22 are mixtures of peptides of varying lengths but

1 the range is predominately X to Y and the median  
2 is approximately Z. And go on to explain that  
3 peptides at more than 30 amino acids can  
4 participate in type 1 hypersensitivity reactions  
5 by cross linking IgEs. Then you can go right into  
6 the stuff that you have as the opening text which  
7 is the safety of amino acids.

8 At the end of the current discussion  
9 there is the additional data needs on method and  
10 manufacturing data and composition and  
11 characteristics. I felt that even though what we  
12 got was not extensive, the material in table 2  
13 pretty much answers the question for me. So the  
14 hydrolyzed wheat proteins are produced by both  
15 enzymatic and acid or base hydrolysis. The  
16 composition is documented even though it is not  
17 for a lot of batches or products. So I would say  
18 that my concerns about the lack of information on  
19 those two points have been satisfied.

20 DR. BELSITO: Well, we're specifying  
21 now. We don't care how it's manufactured, whether  
22 you hydrolyze it or do it enzymatically or however

1 you want it. It should be done to minimize amino  
2 acids (inaudible) peptide not integrated in 30  
3 amino acids.

4 DR. LIEBLER: No, I agree with that.  
5 It's just that we didn't have enough information  
6 to have an adequate method of manufacture section  
7 before and now we do.

8 MS. GILL: So, Dan, you're suggesting  
9 that that last paragraph before the conclusion can  
10 come out?

11 DR. LIEBLER: Yes.

12 DR. BELSITO: Well, we're deleting --  
13 yeah, the panel requested additional data.

14 DR. LIEBLER: Right.

15 DR. BELSITO: We're deleting all of  
16 that?

17 DR. LIEBLER: Correct. Because of size  
18 restriction.

19 DR. SNYDER: Take all that out.

20 DR. BELSITO: Okay. Well, in the  
21 section above where we talk about type 1 and  
22 median hypersensitivity can possibly occur

1 following exposure to protein-derived ingredients  
2 on tape -- I think we need to add on tape-  
3 stripped skin and mucous membranes. And something  
4 -- therefore, the panel felt that these products  
5 should not -- that hydrolyzed wheat protein and  
6 hydrolyzed gluten should not be used in products  
7 that may be inhaled or incidentally contact mucous  
8 membranes.

9 DR. SNYDER: So where are we going with  
10 this? Are we backing ourselves into a hole here  
11 with regards to -- if we had data that says the  
12 starting point for the ingredient used in products  
13 is below the sensitization level, and we clearly  
14 understand what that level is, I'm not certain --  
15 we're on a slippery slope here because can't we  
16 get to -- can they get to a level where they're  
17 going to be safely used if they don't do that?

18 DR. BELSITO: Well --

19 DR. SNYDER: These are going to be safe  
20 as long as the hydrolysis is complete enough to  
21 minimize the composition of longer than 30 amino  
22 acids.

1 DR. BELSITO: What they would need to do  
2 though, you know, quite honestly, to show us that  
3 that, in fact, is the case is repeat the mouse  
4 tape-stripped studies with the products that --

5 DR. SNYDER: The 30 molecular weight?  
6 350 molecular weight?

7 DR. BELSITO: Was clipped, abraded, and  
8 occluded. That was irritation. Nonirritating  
9 human irritation patch test, ocular. But they  
10 didn't look at sensitization. When they looked at  
11 sensitization in the next study they tape  
12 stripped, so it wasn't irritant on abraded skin  
13 but they didn't look long term. So that doesn't  
14 really help us. And it doesn't say in this mouse  
15 study with tape stripping what they actually used.  
16 It just says hydrolyzed wheat protein.

17 DR. SNYDER: Can we get more information  
18 on that? What was specifically used?

19 MS. BURNETT: I can pull up the study.

20 DR. BELSITO: I mean, and then you go on  
21 and they do this study -- protein hydrolysates in  
22 hair care products, three groups of patients. And

1       you look, boom -- 11 hair dressers with hand  
2       dermatitis and you get --

3               DR. SNYDER:  Then we go into hydrolyzed  
4       collagen and hydrolyzed milk.  No reaction to the  
5       hydrolyzed wheat hydrolysates were observed.

6               DR. BELSITO:  But you don't know what  
7       those individuals were exposed to.  They may  
8       simply not have been exposed to hydrolyzed wheat.  
9       And you don't know why you picked them out.  I  
10       mean, because hydrolyzed milk protein is used in a  
11       lot of hair care products as well.

12              MS. BURNETT:  Mean (inaudible) was 40 or  
13       50 kilodaltons.

14              DR. SNYDER:  Yeah, so it's above.

15              DR. BELSITO:  What?

16              DR. SNYDER:  It was above the sensitized  
17       --

18              MS. BURNETT:  Forty to 50 kilodaltons in  
19       the mouse study.

20              DR. BELSITO:  So it's above the level  
21       we're imposing but we don't know whether below  
22       that level would have been negative.  So I mean, I

1 think that we can reach a conclusion. And then if  
2 industry is concerned they can repeat the mouse  
3 study with what we're recommending. A 350  
4 kilodalton product and do it on mucous membranes  
5 and tape- stripped skin and show us it doesn't  
6 sensitize.

7 DR. SNYDER: Right. And that's where  
8 we're stuck. We know that less than 30 can't  
9 trigger the type 1 reaction but we don't know  
10 whether --

11 DR. BELSITO: Yeah, but you could figure  
12 that out in the study. You could try and  
13 sensitize the --

14 DR. SNYDER: Yeah, but we don't have the  
15 data now.

16 DR. BELSITO: Right. We don't have the  
17 data that tells us.

18 DR. SNYDER: Right.

19 DR. BELSITO: Right, but you could  
20 easily do the study. I don't know how much money  
21 it's going to cost, number one. Number two, the  
22 issue is in Europe now you can't market a product

1 as a cosmetic product that's been tested on  
2 animals. And I don't know that there's any other  
3 use for hydrolyzed proteins other than in  
4 cosmetics. If there is you can get away with it.  
5 If there isn't, you can't. You're stuck.

6 DR. SNYDER: And if we had the data then  
7 all the other things would go away because we  
8 wouldn't have to worry about mucous membrane.

9 DR. BELSITO: Right. Exactly. If you  
10 give me data on tape-stripped skin I'd get rid of  
11 the mucous membrane. I'd get rid of the aerosol.  
12 And go back to the prior thing that people with  
13 known sensitivity shouldn't use it but --

14 DR. SNYDER: I like that better than  
15 where we were going.

16 DR. BELSITO: Well, but I don't think we  
17 have a choice; do you? I mean, how can we say?  
18 We have data that shows that you can sensitize.  
19 Granted, it's with a 45, 40 kilodalton product.  
20 But we don't know that less than or equal or 30  
21 amino acids won't do the same thing. We don't  
22 have that data.

1                   MR. ANSELL: So the issue is not  
2                   elicitation but rather whether it can be induced?

3                   DR. BELSITO: Right.

4                   MR. ANSELL: So don't (inaudible)  
5                   studies ask for addressing the question of  
6                   adoption?

7                   DR. BELSITO: I understand, but you're  
8                   certainly not going to try to induce humans. I  
9                   mean, human repeated insult patch testing is not  
10                  for determination of a hazard. It's to confirm  
11                  safety. And Europe already has a problem with  
12                  human testing to begin with. I'm not quite sure  
13                  -- in silico is where they're heading but I think  
14                  we're stuck with it.

15                  MR. BOYER: It's possible, too, the  
16                  industry could use some simple in vitro  
17                  biochemical studies, IgE and so forth, isolated to  
18                  see whether or not or to determine some cutoffs as  
19                  to where you're likely to get a sensitization  
20                  response.

21                  DR. BELSITO: I mean, maybe there is  
22                  data out in the literature that you can sensitize

1 with a protein of a certain -- smaller than a  
2 certain peptide. That certainly would also be a  
3 way of answering a question if the data is out  
4 there already. We haven't searched for it.

5 MR. ANSELL: And I guess that's my point  
6 is that it would be desirable for the panel to  
7 iterate a specific question as opposed to also  
8 defining what the answer is or how the answer  
9 would be determined.

10 DR. BELSITO: So peptides of bigger or  
11 smaller amino acids do not induce type 1  
12 hypersensitivity?

13 MR. ANSELL: So it's insufficient for  
14 protein size that induces sensitization?

15 DR. BELSITO: Yes.

16 DR. SNYDER: That's probably the first  
17 step we should go to rather than all that other  
18 stuff about --

19 MS. GILL: Or is it safe with a  
20 limitation?

21 DR. BELSITO: What?

22 DR. SNYDER: We don't know that

1 limitation. That's a whole other step. We know  
2 the limitations for elicitation but we know the  
3 limitation for sensitization.

4  
5 DR. BELSITO: Okay, so basically where  
6 we're at is safe if the hydrolyzed, rehydrolyzed  
7 gluten peptides are manufactured in a way to  
8 minimize amino acid chain lengths greater than 30.  
9 At this point pending data that shows that peptide  
10 lengths less than or equal to 30 can or cannot  
11 induce sensitization, our recommendation would be  
12 not on damaged skin or products that may contact  
13 mucous membranes. And not on products that could  
14 be inhaled.

15 DR. LIEBLER: Fine.

16 DR. SNYDER: We can't do the safe thing  
17 yet. We don't know what the size limit is for  
18 sensitization, right?

19 DR. BELSITO: But if we say not to be  
20 used on damaged skin, contact mucous membranes or  
21 inhaled then we're not worried about that. The  
22 sensitization occurred on tape stripped skin and

1 on mucous membranes. So basically, you know,  
2 proteins get across in tox stratum corneum. So  
3 I'm not so concerned about normal skin.

4 DR. SNYDER: But in this human study,  
5 this hair dresser, hair care, what was that?  
6 Those were not damaged skin were they?

7 DR. BELSITO: Well, if you're a hair  
8 dresser you de facto have damaged skin unless  
9 you're very elite individual because they're  
10 shampooing and hair and cutting wet hair eight  
11 hours a day. Plus they had active dermatitis.  
12 They have hand dermatitis so they were clearly --

13 MS. LORETZ: But the damaged skin, going  
14 back to the PEG's report when we did the study to  
15 look at that and we ended up putting a qualifier  
16 on it just because our concern at the start was  
17 damaged skin could mean dry skin versus third  
18 degree burns. Is there a thought of further  
19 defining that or is that later down the road?

20 DR. BELSITO: Well, in this case, you  
21 know, what we got for the PEG's report was simply  
22 that when they taped stripped skin it wasn't an

1 issue in terms of absorption that those clinical  
2 case studies were due to the fact that it was  
3 third degree burns. And essentially you were just  
4 -- you might as well be giving the stuff  
5 intravenously.

6 In this case it's ten tape stripped  
7 skins which from the presentation that we were  
8 given is about the equivalent of patients with  
9 atopic dermatitis. And so, I think just saying  
10 damaged skin here, I mean I agree with Dan. You  
11 know or you could say skin lacking stratum, an  
12 intact stratum corneum barrier including mucous  
13 membranes. I don't care how you want to phrase  
14 it.

15 MR. ANSELL: I thought we had discussed  
16 that perhaps a more robust discussion in the  
17 discussion might be the way to resolve that. That  
18 the damaged skin caution arose from data on tape  
19 stripped.

20 DR. LIEBLER: Right. So a little more  
21 detailed but not an awful lot.

22 DR. BELSITO: Right.

1 DR. LIEBLER: Fair enough?

2 MR. ANSELL: Sounds great.

3 DR. LIEBLER: Good.

4 DR. BELSITO: We'll see what the other  
5 group says here.

6 DR. LIEBLER: All right.

7 DR. BELSITO: Okeydokey. I think we've  
8 chomped that to death. Okay, so where are we  
9 next? PEG/PPG Ethers.

10 DR. LIEBLER: Oh, we've got a long ways  
11 to go here.

12 DR. SNYDER: I know, let's pick it up.

13 DR. BELSITO: Oh, well. Come on, would  
14 you -- good job where we're accounted for. So,  
15 okay, June meeting 131 Alkyl PEG/PPG Ethers that  
16 are appropriate for inclusion in the report,  
17 ingredients were safe. Present practice of use  
18 when formulated to be non-irritating, discussion  
19 about potential penetration to the stratum  
20 corneum, we're comfortable with that. The data  
21 graph and gaps read across, we incorporated a lot  
22 of summaries from the PPG and PEG's report.

1                   I thought Monice did a great job here  
2                   and I think that I didn't have safe as used if the  
3                   team is okay with the included ingredients in  
4                   Table 1 and I had a few edits.

5                   DR. LIEBLER: I'm in the same place and  
6                   I also had a few edits and a suggestion that we  
7                   draw the structures using the structure drawing  
8                   software and have a uniform representation of the  
9                   structures in the table. Pain in the neck but  
10                  worthwhile. And I did have one specific  
11                  suggestion in the document on pdf page 33 where  
12                  you're referring to the CIR Safety Assessment on  
13                  PPGs.

14                  I think the discussion to excerpt from  
15                  that would be on the PPG derivatives as opposed to  
16                  propylene glycol itself which is really not very  
17                  relevant to this particular report. So we could  
18                  thin out any mention on propylene glycol and focus  
19                  on the polypropylene glycols instead.

20                  DR. BELSITO: So just propylene glycol  
21                  connect as a penetration enhancer, is that the one  
22                  you're talking about?

1 DR. LIEBLER: No, I'm on right --

2 DR. BELSITO: Where are you?

3 DR. LIEBLER: -- before penetration  
4 enhancement from the CIR Safety Assessment of PPGs  
5 and then in the italics there you've got two  
6 paragraphs.

7 DR. BELSITO: Right.

8 DR. LIEBLER: The first paragraph is --  
9 the first two sentences is about propylene glycol.

10 DR. BELSITO: So you could get rid of  
11 those?

12 DR. LIEBLER: I think we could get rid  
13 of that because that's not particularly relevant  
14 to this particular report.

15 DR. SNYDER: But all the italicized  
16 stuff is going to go away?

17 DR. BELSITO: No, it's not.

18 DR. SNYDER: I thought we couldn't  
19 republish data we've already published.

20 DR. BELSITO: You can summarize it.

21 MS. GILL: -- we summarize it.

22 DR. BELSITO: Okay.

1 DR. LIEBLER: So you can start with  
2 animal studies using PPGs.

3 DR. BELSITO: Okay.

4 DR. LIEBLER: That's the relevant  
5 content.

6 DR. SNYDER: What about the idea that  
7 we're posing 105 of the 131 ingredients have no  
8 reported use?

9 DR. BELSITO: Oh, well, we've done that  
10 before.

11 DR. SNYDER: I mean it's, you know, if  
12 we feel that we can extrapolate the safety, you  
13 know, on the other hand we have critics out there  
14 saying that there are 6,000 chemicals used in  
15 cosmetics and we don't have safety data for X  
16 number of them. I mean, this is what we're trying  
17 to do is to show that --

18 DR. BELSITO: But I mean, so we're  
19 pretty comfortable with the read across is fairly  
20 robust for --

21 MR. ANSELL: I think that's really the  
22 question is not the number, it's the rigorous in

1 which the family has been formed.

2 DR. BELSITO: Right.

3 MR. ANSELL: If that's a good family  
4 then the number's not really relevant. So that's  
5 the question, I think is it's not --

6 DR. BELSITO: That's what I understand.  
7 If we're comfortable with being able to read  
8 across everything that's in Table 1 then I'm fine  
9 with it.

10 DR. LIEBLER: Yes, I don't have a  
11 problem with it.

12 DR. BELSITO: Okay. Any other comments?  
13 Great job, Monice.

14 MS. FIUME: Thank you.

15 DR. BELSITO: Okay. Alkyl  
16 sulfosuccinate salts. Okay this is under  
17 sulfosuccinates, right?

18 DR. LIEBLER: Yes.

19 DR. BELSITO: So at the June meeting we  
20 reopened the now diethylhexyl sodium  
21 sulfosuccinate to add seven dialkyl sulfosuccinate  
22 salts. And issued a tentative safety assessment

1 with the conclusion safe as used in the present  
2 practices when formulated to be non-irritating and  
3 fine and I had no substantive comments on this  
4 one.

5 DR. LIEBLER: Same here.

6 DR. SNYDER: Still waiting for mine to  
7 open. But I didn't have any on my notes so I  
8 don't think there's anything.

9 DR. BELSITO: Okay. Parsley, sage,  
10 rosemary and thyme, sorry, Monice but this is  
11 another one I did on paper so hopefully --

12 MS. FIUME: That's fine.

13 DR. BELSITO: I thought that first of  
14 all, there were several ingredients that  
15 functioned only as fragrance ingredients. The  
16 flower wax and all of the water extracts were  
17 listed as fragrance ingredients and should we be  
18 reviewing those ingredients or should we be  
19 cutting them out?

20 DR. LIEBLER: So I read this and then I  
21 read the, I guess it was the wave 2 suggest or  
22 maybe the memo at the end. It was either a memo

1 at the end or the wave 2 that suggested that we  
2 table this report and consider the possibility of  
3 issuing a report on the constituent ingredients.  
4 Right? That was a suggestion that was made?

5 MS. FIUME: It was. It was, it came in  
6 the main package.

7 DR. LIEBLER: Okay, so I encountered it.  
8 It was at the end I think. It was in the memo at  
9 the end.

10 MS. FIUME: Yes.

11 DR. LIEBLER: So that's why I  
12 encountered that after I considered the report.  
13 So I guess my question in response to that  
14 suggestion is whether or not the individual  
15 ingredients have significant uses and use  
16 concentration data to allow us to bracket our  
17 needs for data and to consider these in an actual  
18 report.

19 In other words, I understand the logic  
20 of focusing on some of the main potentially  
21 bioactive constituents but then is there enough  
22 actual use and data to help us figure out what

1 data we would need to evaluate those individual  
2 ingredients?

3 MS. FIUME: There are data out there on  
4 some of the individual constituents, however, as  
5 we encounter more and more botanicals as a writer,  
6 if we start reviewing all the documents we start  
7 with Dr. Duke's. We find other documents that  
8 have what main constituents are and what the  
9 percentages are. But as the writer, it becomes a  
10 question of what is a main constituent? What  
11 level of those constituents are a concern? Which  
12 ones are in cosmetic use? And what is the  
13 chemical characterization of the actual cosmetic  
14 ingredient versus, like if it's the extract,  
15 versus what is out there?

16 DR. LIEBLER: Like carnosols, for  
17 example.

18 MS. FIUME: Right. And there is some  
19 information out, there is information out there.  
20 I forget. I know I looked at it but I can't  
21 remember from the BCRP how many uses it would  
22 have. As we're going through and I struggled with

1 this and the writers have talked to it. It does  
2 become at what point is it a report of the  
3 constituents versus a report on the ingredient  
4 that's being used. So I understand what you're  
5 saying but I guess my answer is it's a confusing  
6 situation for us as well.

7 DR. LIEBLER: 'Cause I think of an  
8 evaluation of carnosol, if it were to be different  
9 then the evaluation of a botanical that contains  
10 carnosol's a major ingredient, then if it were to  
11 be different then we would need to know something  
12 about what kinds of products carnosol was used in  
13 and concentrations and use context to know if that  
14 was anything different than the occurrence just in  
15 botanicals. And I think this would generally  
16 apply to these other individual chemical  
17 constituents.

18 So although I see a potential logic of  
19 reviewing the individuals, 'cause that way we can  
20 refer to our previous reviews when we do some of  
21 the botanicals, we just might not have enough  
22 context for the use of the individual ingredients

1 for that strategy to actually work for us. And  
2 that's what I'm concerned about. But I don't know  
3 enough about the uses and concentrations just for  
4 some of the major ones in rosemary to know if  
5 that's an issue for us to consider here and now.

6 MS. FIUME: So, for example, carnosic  
7 acid is listed in the database and is just listed  
8 as an antioxidant is what its use is listed as.

9 DR. BELSITO: But I thought that whole  
10 point was just should we be reviewing rosmarinic  
11 acid with the botanical? I didn't take it to mean  
12 we should be evaluating botanicals solely based  
13 upon their constituents. You know what I mean?  
14 So I mean, quite honestly that's what I thought  
15 and I didn't have a problem putting rosmarinic  
16 acid in here since it's a major component, number  
17 one. Number two, we had some safety data on  
18 rosmarinic acid itself and number three, it's  
19 apparently listed in the cosmetic ingredient  
20 dictionary.

21 So I thought it was fine to keep it in.

22 But --

1 MR. ANSELL: Well, that was our comment.

2 DR. BELSITO: Right.

3 MR. ANSELL: Although this discussion  
4 might be well worth having.

5 DR. BELSITO: But I don't think you can  
6 go, I mean, unless you get something like  
7 peppermint where carbone is the overwhelming, you  
8 know, principle ingredient that you can really  
9 base your safety evaluation trying to put together  
10 all the individual ingredients in these  
11 botanicals. I think that's going down a slippery  
12 slope.

13 On the other hand if a botanical has a  
14 major ingredient like rosmarinic acid and there's  
15 also data, safety data, on that ingredient and  
16 that ingredient as a purified ingredient is used a  
17 fragrance ingredient, I think it could be thrown  
18 in with the botanical.

19 MS. GILL: And I think that's the  
20 approach the writers are taking, Don.

21 DR. BELSITO: Right.

22 MS. GILL: The question, I think, from

1 our perspective as we discussed is what's major  
2 and as we look, go down the list of components,  
3 where do we draw the line on what's major? Which  
4 is I think the comment from the council as well.

5 DR. BELSITO: Okay.

6 MS. GILL: Why rosmarinic acid and none  
7 of the others. So the discussion that Dan was  
8 having is important but I -- what you just  
9 described is how we've approached this before.

10 DR. LIEBLER: So, if there, for example,  
11 perhaps a good rule of thumb to deal with this is  
12 if you have a specific chemical component that is  
13 significant component of a botanical and is  
14 relatively unique to that botanical, like the  
15 rosmarinic acid for example, then we can consider  
16 it along with the botanical. But if we have  
17 something like caffeic acid or luteolin or ursolic  
18 acid, these are things that are in lots and lots  
19 of different botanicals, you know, we could keep  
20 rosemary on the back burner for ages while we do  
21 all of those.

22 And then that would be a clever way of

1       avoiding ever doing botanicals, actually. We  
2       could just put them behind all the individual  
3       chemicals but that's just not going to be workable  
4       for us.

5                   DR. BELSITO: So I guess what we're  
6       saying as a boiler plate, if it a major's  
7       constituent you need to have botanical, it's a  
8       cosmetic ingredient and there's some safety data,  
9       we'll include it. If it's not unique to that  
10      botanical, then we won't include it.

11                  DR. LIEBLER: Right. And I'm fine with  
12      that. I was really trying to respond to the  
13      comment here in this memo 'cause I thought it was  
14      worth discussing.

15                  DR. BELSITO: But I think that brings us  
16      back to Table 1. Again, my question where we have  
17      rosemary flower leaf stem water function fragrance  
18      ingredient, rosemary flower wax function fragrance  
19      ingredient, leaf water fragrance ingredient, water  
20      fragrance ingredient.

21                  I thought it was not the purview of this  
22      panel to look at safety of the fragrance

1 ingredients, so should those be in here to begin  
2 with? I can see when it, you know, benzyl alcohol  
3 is both a fragrance and something else that's a  
4 cosmetic function.

5 MR. ANSELL: Well, the CIR procedures  
6 address that don't they?

7 MS. GILL: Yes.

8 MR. ANSELL: And they --

9 MS. GILL: It is covered if it is a  
10 fragrance. I think part of the question was  
11 whether or not its whole purpose was a fragrance  
12 and we have made a connection if we're going to  
13 ask that question.

14 MR. ANSELL: Right. Mixed use ones are  
15 more confusing but if it were solely a fragrance  
16 it would be outside the purview of the panel.

17 DR. BELSITO: Okay. I mean I don't have  
18 a problem leaving them in. I mean, you know --

19 DR. LIEBLER: So I was going to suggest  
20 dumping the wax simply because of chemical  
21 dissimilarity from the other things. The wax is  
22 probably going to contain long chain lipids that

1 -- it's waxy because it contains a lot of highly  
2 hydrophobic materials that -- and that the whole  
3 product will behave differently, the whole mixture  
4 will behave differently than the others.

5 So I just thought the wax could go. It  
6 just doesn't fit literally whereas the others  
7 could stay there and then we could still dump in  
8 the we consider them as only fragrances.

9 DR. BELSITO: Okay, so we're going to  
10 delete the wax because of its chemically  
11 dissimilar. They have questions regarding --

12 DR. SNYDER: Wouldn't we hold that same  
13 caveat then for any of these derived ingredients  
14 that have functions only related to fragrance?

15 DR. BELSITO: Well, that's what we're  
16 trying to figure out.

17 MR. ANSELL: Well, it's already the CIR  
18 procedures already state that, that materials  
19 which are exclusively fragrances are outside the  
20 scope of the panel. The place where it becomes  
21 confusing, as Lillian pointed out, is there are  
22 ingredients which may be mixed use.

1 DR. BELSITO: Right.

2 MR. ANSELL: And they may bring other  
3 functions than simply fragrance.

4 DR. BELSITO: Right, so we're going to  
5 --

6 MR. ANSELL: In which case they would be  
7 here and then CIR is supposed to coordinate with  
8 the RIFM panel to make sure that the relevant data  
9 is --

10 DR. BELSITO: So we're going to check  
11 with regarding the water extracts. Once we get  
12 rid of the wax which is also reported just as a  
13 fragrance, we're going to check whether the water  
14 extracts are solely fragrance ingredients or if  
15 they have mixed uses. If they're fragrance  
16 ingredients we'll delete them from our  
17 consideration, although I would say that we could  
18 still if there's data on their safety, use that  
19 data. It just wouldn't be part of the ingredients  
20 that we review.

21 MS. FIUME: Dr. Belsito, that is the  
22 protocol we are trying to follow now with these

1 botanicals. If something is listed as just a  
2 fragrance ingredient, confirm with RIFM that that  
3 is its only use and see if they have a data  
4 profile or anything, a monograph on those  
5 ingredients that we can incorporate for that use.

6 DR. BELSITO: Okay.

7 MS. FIUME: For information in our  
8 report.

9 DR. BELSITO: Okay, and then I have a  
10 note here that I thought really do we have enough  
11 information on the constitution of the flower?  
12 Again, when you look at it it's totally empty.  
13 You know, what we have is the whole plant. Is  
14 that sufficient?

15 So we have great data on the plant. We  
16 just don't have any data on the flower. And what  
17 we have are we have the rosemary extract, we have  
18 a flower extract, we have a flower leaf stem  
19 extract and we have leaf, which we have at least a  
20 little more data on.

21 So do we have enough on the flower  
22 constitution? And really do we have enough on the

1 leaf; it can be leaf extract is used at 10 percent  
2 which I thought were insufficient for  
3 sensitization at 10 percent of the leaf extract.

4 DR. LIEBLER: So the plant's mostly  
5 leaves. So I would argue that the plant data  
6 which are pretty extensive could probably cover us  
7 at least for the leaves, leave and shoots. I  
8 don't know about the flower. This is a little  
9 better situation than we had with one of the  
10 chamomiles where we had, I think it was just the  
11 flower oil, right?

12 And we, it was hard to interpolate that  
13 to the other plant constituents but here we have  
14 the whole plant data. I would argue that we're  
15 probably okay with that, without having extensive  
16 data on the flower. Do we have a lot of uses on  
17 the flower?

18 DR. BELSITO: Quite honestly, I never  
19 knew that rosemary had a flower.

20 DR. LIEBLER: Oh, they're really tiny.

21 MS. FIUME: It does. Actually when it  
22 flowers then the spice gets bitter. You don't

1 want it to flower if you're using it as an herb is  
2 what I've been told.

3 DR. LIEBLER: And they are covered with  
4 bees. We used to have rosemary out in front of  
5 our house in Tucson and they'd be flowering right  
6 when I had to put the Christmas lights out.

7 DR. BELSITO: Okay, so rosemary flower  
8 extract we have a total of 36 uses. Flower stem  
9 we have a concentration but no reported uses and a  
10 rinse off and that's it. So not a lot of uses  
11 probably because there aren't a lot of flowers.

12 DR. LIEBLER: Hard to get, yes.

13 DR. BELSITO: Yes.

14 DR. LIEBLER: But really the action is  
15 rosemary extract, rosemary leaf extract and leaf  
16 boil. That's where almost all the uses are.

17 DR. BELSITO: So the plant data covered  
18 the composition that we need.

19 DR. LIEBLER: I think plant data covers  
20 that, yes.

21 DR. BELSITO: Okay. So then in the  
22 discussion we need the pesticide heavy metal

1 boilerplate and we need the inhalation  
2 boilerplate. And then I guess the ingredients of  
3 concern here are caffeic acid, thujone and methyl  
4 eugenol? So when we develop the botanical  
5 boilerplate those are the things we need to  
6 address.

7           So the leaf extract is used up to 10  
8 percent but we don't have sensitization which I  
9 think is an insufficiency. Or would you disagree?

10           DR. SNYDER: Agreed. I mean  
11 sensitization and (inaudible).

12           DR. BELSITO: Right, for the leaf  
13 extract. There were reproductive effects on male  
14 and females as and antiestrogenic effect but the  
15 doses were super high so that needs to go in the  
16 discussion.

17           DR. LIEBLER: And I think the in vitro  
18 studies described on page 16, non-human, the  
19 effect of methanol extract leaves on NaBPH, depend  
20 on microsome metabolism of estradiol and estrone  
21 in liver microsomes. I don't think that's  
22 relevant. Essentially the effect of these

1 compounds on microsomal metabolism doesn't really  
2 serve as a model for interaction with estrogen  
3 receptors or really for modulating estrogen  
4 receptor signaling.

5 DR. BELSITO: So where are you, Dan?

6 DR. LIEBLER: I'm on pdf page 16; let's  
7 see about halfway down where it says effects on  
8 estrogenic activity. In that first section, the  
9 first one, two, three paragraphs are all about  
10 microsomal dependent oxidation of estradiol or  
11 glucuronidation and all those I think are  
12 irrelevant and can go.

13 And then I'm okay with the CD1 mice in  
14 vivo studies but the extract --

15 DR. BELSITO: So you're deleting the  
16 first three paragraphs?

17 DR. LIEBLER: Correct. The first three  
18 paragraphs. But the fourth paragraph you can  
19 keep.

20 DR. BELSITO: So the group of seven or  
21 eight six week old, that's okay?

22 DR. LIEBLER: Yes.

1 DR. BELSITO: I'm going to assume that  
2 corrects the only typo I had (inaudible) fennel.  
3 You did a great job there. So you're not going to  
4 get the paper document.

5 MS. FIUME: Darn. I like this paper  
6 document.

7 DR. BELSITO: I know you were looking  
8 forward to my handwriting.

9 DR. LIEBLER: She'll tear the office  
10 apart looking for it. I know he had one. He  
11 always has one.

12 MS. FIUME: But at least it says AU so I  
13 always knew if I needed to figure it out it was  
14 marked.

15 DR. BELSITO: Okay so that's my list of  
16 things that I had to bring up. Oh, penetration  
17 enhancement before do we need to discuss that at  
18 all? It was really not that great. I'm just  
19 raising it. I'm not saying we need to say it  
20 shouldn't be used with things that we said didn't  
21 penetrate. I don't even know what page that's on.  
22 Penetration. Penetration enhancement, it's 14 of

1 the pdf on aminophylline. "Did enhance the  
2 penetration of, however the increase in permeation  
3 was less than that observed with 50 percent  
4 ethanol." Okay, so no mention about penetration  
5 enhancement, okay.

6 DR. SNYDER: So I have a question in the  
7 summary, this third sentence that says "rosmarinic  
8 acid is a constituent of the plant as well as a  
9 cosmetic ingredient." So we talked about that but  
10 what was the final resolution. It was we're not  
11 implying that this is a safety assessment of  
12 rosmarinic acid?

13 DR. LIEBLER: No, we are.

14 DR. SNYDER: We are? So then we should  
15 state that then.

16 DR. LIEBLER: That's the one individual  
17 chemical that's included with this.

18 DR. SNYDER: Okay, so then we need to  
19 make sure that we state that. So we should say  
20 because rosmarinic acid is a major constituent of  
21 the plant as well as an individual cosmetic  
22 ingredient, for safety assessment it includes or

1 something along those lines, right?

2 MS. FIUME: So, Dr. Belsito, just to  
3 make sure I have everything correct, so it's going  
4 to go IDA for an HR IPT on the leaf extract at 10  
5 percent which is the concentration of use? Since  
6 it's going out as IDA I wasn't sure, are you  
7 requesting chemical characterization on the flower  
8 ingredients then or on the flower?

9 DR. BELSITO: I mean, we could if it's  
10 available but Dan said he's comfortable with the  
11 total composition of the plant particularly given  
12 the small use of the flower.

13 MS. FIUME: Okay, so don't put it out at  
14 all or as if available.

15 DR. BELSITO: If available, yes.

16 MS. FIUME: If available? Okay.

17 DR. LIEBLER: That's fine.

18 MS. FIUME: And then the wax will be  
19 deleted?

20 DR. BELSITO: Yes.

21 MS. FIUME: And we're double-checking on  
22 those that are just fragrance ingredients?

1 DR. BELSITO: Right.

2 MR. ANSELL: So just so I'm clear there  
3 were a series of acids that we suggested including  
4 and did we get to them?

5 DR. LIEBLER: Yes, we talked about that.  
6 This is in the memo at the end?

7 MR. ANSELL: Yes.

8 DR. LIEBLER: Yes. You also suggested  
9 including carnosic acid or basically raised the  
10 question why rosmarinic acid but not carnosic  
11 acid, oleanolic acid or solic acid, et cetera, et  
12 cetera, et cetera. And then perhaps you should a  
13 discussion including the plant components or  
14 reports concerning plant extracts or perhaps the  
15 CIR may want to consider having a report on  
16 diterpenes before a report on rosemary derived  
17 ingredients is completed. And I thought we talked  
18 about that and decided not to do that.

19 MR. ANSELL: To include the ingredients  
20 but not to include the discussion, I mean the  
21 discussion would have -- I tracked that. That was  
22 the inclusion of ursolic and carnosic.

1 DR. BELSITO: Well, it's not clear to me  
2 that those are unique to rosemary.

3 DR. BRESLAWEC: No, but they're present  
4 in higher concentrations than we first thought,  
5 than the rosmarinic acid.

6 DR. BELSITO: Well, what we had said  
7 before you came in, Halyna, was that we would add  
8 a component if it was unique to that botanical and  
9 didn't cross over to other botanical products and  
10 also was listed as an ingredient in the cosmetic  
11 dictionary.

12 DR. LIEBLER: I mean I raised the  
13 question in response to the memo, Halyna, about  
14 whether -- if we were going to pursue that  
15 strategy of actually doing a report on some of  
16 these terpenes, then I raised initially the  
17 question of do we have data on uses and use  
18 concentrations of these that would allow us to  
19 actually do a report and not get stuck at square  
20 one. And I don't think we have the answer to that  
21 and I think there's a lot of headshaking going on.  
22 So we kind of defaulted back to okay, let's do the

1 botanical with the or let's do this ingredient  
2 with the highly characteristic/almost unique  
3 compound rosmarinic acid and that might be a rule  
4 of thumb to use in future such situations where we  
5 have a botanical ingredient and a characteristic  
6 ingredient that can be evaluated alongside it  
7 where there's some data for it. Otherwise, we're  
8 stuck.

9 DR. BRESLAWEC: Okay, I just -- I'm  
10 sorry coming in late to the discussion but did you  
11 include your discussion the consideration that  
12 these particular ingredients and the amount of  
13 certain components is, what's the term that you  
14 used, Carol, is standardized?

15 MS. EISENMANN: Right. These  
16 ingredients are normalized to carnosic and  
17 carnosol which that's probably the question to  
18 begin with because well, why, is that's  
19 (inaudible) about carnosic acid. They're very  
20 similar to rosmarinic and I'm not sure rosmarinic  
21 is really unique to rosemary. I think it's also  
22 found in sage and some other related ingredients.

1 DR. LIEBLER: So would we review these  
2 in sage or would we --

3 MS. EISENMANN: Right, right.

4 DR. LIEBLER: -- review in the first  
5 plant that comes --

6 MS. EISENMANN: And I just don't --  
7 what's the rationale for putting rosmarinic in  
8 this report and not carnosic acid when that's the  
9 one that being -- it's 25, 17 or 25 percent.  
10 They're normalizing their extracts to carnosic and  
11 carnosol. This is in the food chemical codex.

12 DR. BELSITO: Well, actually, Paul just  
13 brought up a very good point. There are no  
14 reported uses or use concentrations from  
15 rosmarinic acid which is going to make this very  
16 difficult to say safe as used if we're looking at  
17 an individual ingredient based upon the safety  
18 data. Then we're back to the pre, that limited  
19 period of time where we had no use concentration  
20 data and we're setting artificial limits based  
21 upon however the wind was blowing over our finger  
22 that day. So maybe we should just drop it from

1 this report and say --

2 DR. BRESLAWEC: Yes.

3 DR. LIEBLER: Okay, I like that better.

4 DR. BELSITO: I do, too.

5 DR. LIEBLER: Depending on how the way  
6 the suggestion was worded, what I was getting at  
7 is please consider adding all these other  
8 compounds. And what you really meant was please  
9 consider not including rosmarinic acid.

10 MS. EISENMANN: Well, yes.

11 DR. BRESLAWEC: I think actually the  
12 request was please discuss this.

13 MS. EISENMANN: Right. Right, I mean  
14 because this will come up for other reports.

15 DR. LIEBLER: But if we ran this --

16 MS. EISENMANN: Should you review a  
17 component with the plants when you didn't do it  
18 for licorice. You did them separate.

19 DR. LIEBLER: Okay. I guess I was after  
20 licorice but anyway.

21 DR. SNYDER: You'll have to drink some  
22 Jagermeister.

1 DR. BRESLAWEC: We can give you a copy  
2 of the report to read.

3 MS. EISENMANN: There's two reports  
4 actually.

5 DR. LIEBLER: Yes, send me the gift box.

6 DR. BELSITO: Okay, so --

7 DR. LIEBLER: Without the rosmarinic  
8 acid.

9 DR. BELSITO: We're going to delete the  
10 wax because it's chemically dissimilar. We're  
11 delete the rosmarinic acid because there are no  
12 use concentrations and we've just made a decision  
13 we're not going to review individual ingredients  
14 with a whole plant. We're going to check whether  
15 the water extracts are solely fragrance  
16 ingredients and if they are they'll be dropped  
17 from the report in terms of what we're reviewing.  
18 However, the safety data, if any, will not be  
19 dropped.

20 We're going to ask -- we're going to use  
21 the pesticide heavy metal inhalation, boilerplates  
22 in the discussion. Our botanical boilerplate our

1 concerns are caffeic acid, thujone and methyl  
2 eugenol. We're going to point out that there were  
3 repro effects but at very high doses and we're  
4 going to go for insufficient for sensitization the  
5 leaf extract at 10 percent.

6 Anything else? Okay. Phylmethamine.  
7 Okay, the June meeting we requested data on  
8 irritation and sensitization for the three  
9 ingredients at four percent and purities data  
10 submitted felt that our needs were met. There was  
11 no indication that primary amines are converted to  
12 secondary amines and because primary and  
13 non-nitrosating, the language of the discussion we  
14 said could be changed and we need to finalize the  
15 report. But regarding the amines I had some  
16 issues as it's currently written.

17 It says under impurities, secondary  
18 amines anhydrous were present at a maximum of zero  
19 point five percent weight. Nitrosamine at 50  
20 parts per billion. So that sort of contradicts  
21 what we were told, is that correct? This is page  
22 16. So do we still need the nitrosating language

1 in the discussion?

2 MR. HUGHES: Just --

3 DR. BELSITO: Yes, identify yourself,  
4 please.

5 MR. HUGHES: My name is Brian Hughes,  
6 I'm with Dow Chemical Company. The idea of 55 per  
7 billion of the nitrosamines was put in there  
8 because the EU cosmetic directive which required  
9 that the 50 part per billion is actually the  
10 limited detection for nitrosamines in our tests  
11 and we have never found it at that level. So  
12 that's how that crept into the language.

13 DR. LIEBLER: So it should be  
14 nitrosamines at less than 50 ppb.

15 MR. HUGHES: Should be.

16 DR. BELSITO: Now, since I'm not a  
17 chemist, secondary amines are the ones that can be  
18 nitrosated, is that correct?

19 DR. LIEBLER: Correct.

20 DR. BELSITO: So this statement then  
21 says, secondary amines were present at a maximum  
22 of zero point five percent weight. So that would

1 mean we do need the nitrosating language unless  
2 this information is incorrect.

3 DR. LIEBLER: So, yes, this information  
4 is useful because it says that in the starting  
5 materials the product contains secondary amines at  
6 maximum point five percent and nitrosamines were  
7 not detectable or detectable below that limit at  
8 50 ppb. But still, we should specify that  
9 products using these should be formulated in a way  
10 to minimize or to prevent the formation of  
11 vancosamines. So we can still say that, right?

12 MR. HUGHES: Even though this is more of  
13 a specification than it is actual detection.

14 DR. LIEBLER: Yes, I mean, right. What  
15 we're saying is a specification for how the  
16 product should be provided which your information  
17 you're providing is apparently information on a  
18 typical representative batch or batches.

19 MR. HUGHES: Yes.

20 DR. LIEBLER: And the application of a  
21 particular method of detection and the bottom was  
22 50 and we didn't see any.

1 MR. HUGHES: Correct.

2 DR. LIEBLER: So those aren't  
3 inconsistent. You're doing your job and we're  
4 doing our job.

5 DR. BELSITO: So how are we handling  
6 this, Dan?

7 DR. LIEBLER: So we can include the  
8 nitrosamine boilerplate.

9 DR. BELSITO: Okay. We need to include  
10 that. Okay.

11 DR. LIEBLER: Thanks.

12 DR. BELSITO: So we'll say secondary  
13 amines anhydrous were present at a maximum of zero  
14 point five percent weight, nitrosamines less than  
15 -- that needs to change, right? So less than 50  
16 --

17 DR. SNYDER: Less than 50 parts per  
18 billion and in parentheses, below the level of  
19 detection. It should say less than 50 parts per  
20 billion and in parentheses, below the level of  
21 detection.

22 DR. LIEBLER: Limit of detection.

1 DR. SNYDER: Limit of detection, right.

2 DR. BELSITO: Okay. So then in the  
3 discussion we need to re-add the nitrosating  
4 boilerplate.

5 DR. SNYDER: In the intro, in the  
6 summary and discussion and everything it's more  
7 than just a -- it has emulsifier function in  
8 addition to a pH adjuster capture, correct? Under  
9 the introduction you said, its primary use in  
10 cosmetic is an emulsifying agent and then it also  
11 functions as a pH adjuster. But we only refer  
12 back to its pH content never as an emulsifier. We  
13 need to include that throughout the function.

14 MS. BECKER: In the table here the pH  
15 adjuster fragrance -- emulsifier.

16 DR. SNYDER: Under the introduction, you  
17 said tromethane is used in cosmetics primarily as  
18 an emulsifying agent, ANPD, AEPD, function as pH  
19 adjusters in cosmetics.

20 DR. LIEBLER: Is that correct?

21 MS. BECKER: Checking. Fragrance  
22 ingredient and pH adjuster, no emulsifier. I

1 don't know where that came from. I apologize.

2 DR. BELSITO: Okay, anything else?

3 DR. LIEBLER: No.

4 DR. BELSITO: If not, safe as used?

5 DR. LIEBLER: Yes.

6 DR. BELSITO: Okay. So alumina, the  
7 June meeting we said they were alumina, aluminum  
8 hydroxide insoluble not likely to cross the skin,  
9 didn't need sensitization, ingestion from  
10 lipsticks possible but the amount not a  
11 toxicologic concern. Need to point out that the  
12 cosmetic ingredient was not aluminum but make a  
13 little statement that we're aware of concerns  
14 regarding aluminum and Alzheimer's disease as well  
15 as some other tox endpoints which I think the  
16 writer did a good job on.

17 And so, we're here to decide whether  
18 that's it. It looks like I had some typos. I  
19 don't know if anything is really substantive.  
20 Okay in the abstract, the next to the last  
21 sentence it says, the safety assessment does not  
22 address aluminum as a cosmetic ingredient. Do we

1 want to say that?

2 MR. ANSELL: Well, we have a real  
3 problem with the amount of aluminum included  
4 throughout the entire report. We open with this  
5 is about alumina hydroxide not aluminum and yet we  
6 have massive sections in the toxicology about  
7 aluminum. We have the DARPA papers brought up  
8 about breast cancer and aluminum. We got aluminum  
9 reports and its use in drug applications. We have  
10 its use in antiperspirants. Its use in --

11 DR. BELSITO: But that's aluminum  
12 chloride and aluminum hydroxide which we're  
13 looking at.

14 MR. ANSELL: It's --

15 DR. BELSITO: The breast cancer is  
16 aluminum but we said we wanted to put a little bit  
17 in the Alzheimer's but we said we wanted to put a  
18 little bit in there to show that we're aware of  
19 it.

20 MR. ANSELL: Right, and we think that --

21 DR. BELSITO: You think there's too  
22 much?

1 MR. ANSELL: Way too much.

2 MR. BOYER: Well, there's a whole  
3 section now on aluminum toxicology and that's  
4 towards the end of the document.

5 DR. BELSITO: Right.

6 MR. BOYER: And I think that the panel  
7 at the last meeting requested that kind of a  
8 write-up to begin with.

9 DR. BELSITO: We did.

10 MR. BOYER: And that's, I mean, that's  
11 why that's in there at the present time. But I  
12 believe the panel's intention was to determine  
13 whether or not first of all that met the need,  
14 second to what extent it might be reduced and  
15 where it might be placed as well. And I think one  
16 of the council's comments was that a lot of this  
17 could be condensed or that particular section  
18 could be condensed and incorporated into the  
19 introductory section. And dealt with right up  
20 front.

21 DR. BRESLAWEC: We don't have any issues  
22 with discussing the toxicity information but it

1 has to be placed in context and the relevance of  
2 the data that are presented here. It needs to be  
3 identified, highlighted. The panel is well aware  
4 of the differences between the different  
5 ingredients. The panel is well aware of the  
6 nuances but somebody reading this report who's not  
7 aware of it, really it's very difficult to follow  
8 in that sense. This is a very important  
9 ingredient for the cosmetic industry and there are  
10 issues surrounding similar sounding ingredients.  
11 So the report has to be tight. It has to be robust  
12 and it has to be credible because the industry and  
13 the others will be using this report.

14 So our request is to table the report so  
15 that we, at least, have another chance to take a  
16 look at how it's rewritten so that the relevance  
17 of the various toxicity sections which are well  
18 written and we don't have a problem including  
19 them, but so the relevance of those toxicity  
20 sections on the ingredients that they're tested is  
21 clear, vis-à-vis the ingredients that are under  
22 review. We don't think the current report clearly

1 does that. There are a lot of phrases throughout  
2 the report that when taken out of context, just  
3 taken even in context don't make a lot of sense.

4 DR. LIEBLER: So, you know, as I read  
5 this and I hear this discussion I think that this  
6 might be an example of something that we might be  
7 able to address effectively with some of the  
8 improvements in electronic publishing. And a lot  
9 of papers that we write now, we have a section of  
10 data or something like this where it needs to be  
11 mentioned and there's a lot that we've done that  
12 we've put in supplemental data. See supplemental  
13 results. See supplemental discussion, see  
14 supplemental this or that and then the journal  
15 will have that supplemental stuff. It's just a  
16 hyperlink away for the reader.

17 But this could be dealt with in the  
18 introduction that this report is on alumina which  
19 it's chemically defined as such and such and not  
20 on aluminum or aluminum compounds which  
21 nevertheless have attracted tremendous interest  
22 because of other toxicities and health concerns.

1 The panel considered this literature and see  
2 supplemental discussion. And it could be dealt  
3 very effectively with that if the journal uses  
4 that format or presentation and that way and we  
5 could use this is a way to streamline our reports  
6 in certain cases by parking the relevant stuff out  
7 of the logic flow of our main document but still  
8 making it clear that the panel actually reviewed  
9 the literature and considered it and was able to  
10 explain why it's distinct from the ingredients  
11 that are being reviewed in this particular report.

12 And if we did that and also combed  
13 through for what you're concerned about which  
14 would be inappropriate mentions, you know, little  
15 small editorial things, I think it's problem  
16 solved. And the only question I have is will the  
17 International Journal of Toxicology, does it  
18 utilize supplemental information?

19 MS. GILL: I don't know if it does or  
20 not.

21 DR. SNYDER: I think we've broached that  
22 one time before about having supplemental and they

1 currently don't.

2 DR. LIEBLER: And we should check  
3 because that's something that is, if they do  
4 electronic publishing they probably do now. And  
5 we should check because that's the way to do this,  
6 the 21st century.

7 DR. BELSITO: Well, I guess the question  
8 is this was a final report. You're not asking us  
9 to change our conclusion. You're simply, what  
10 you're suggesting is that there be editorial  
11 changes made to the body of the document not to  
12 the conclusion, is that correct? You don't want  
13 us to say these are unsafe?

14 DR. BRESLAWEC: No, absolutely not.

15 DR. BELSITO: Right, and we've already  
16 said they're safe.

17 DR. BRESLAWEC: But I think we would  
18 like an opportunity for review. I mean it has  
19 statements in there saying alumina and aluminum  
20 hydroxide as used in cosmetics are not approved  
21 active ingredients in antiperspirant products.  
22 Well, neither has it killed yet. I mean --

1 DR. BELSITO: But I guess my point is do  
2 we need to table this or can we just finalize it  
3 and allow you to make cosmetic changes before it  
4 goes to print?

5 DR. BRESLAWEC: This is a really  
6 important ingredient for the industry. It has to  
7 be a cohesive report that we can use and it's a  
8 report that's written in sections that isn't tied  
9 together. And that's where my concern is.

10 DR. BELSITO: I understand. But does  
11 that require that we table this or can we not say  
12 our final conclusion these are safe as used and  
13 then let you people do whatever editorial  
14 tightening up you want to do?

15 DR. BRESLAWEC: Well, if you say safe as  
16 used and don't table it, we don't get an  
17 opportunity to comment on it.

18 DR. BELSITO: Well, that's what I'm  
19 asking.

20 DR. BRESLAWEC: Now, we would like an  
21 opportunity to comment.

22 DR. BELSITO: That would be the case.

1 But you had days, 60 days.

2 DR. BRESLAWEC: And we made comments and  
3 these are comments on the report that has  
4 incorporated our comments.

5 MS. GILL: I think this is -- some of  
6 the comments we got quite late. I think we got  
7 those Thursday, Wednesday or Thursday about  
8 tabling the report. So the report as written  
9 doesn't incorporate that request to tighten it up  
10 and to make sure that the use of aluminum and  
11 where it's confusing isn't there. So.

12 DR. BELSITO: If our regulations require  
13 that if we go final you don't the opportunity to  
14 make your editorial changes then I don't have a  
15 problem with tabling it. I was just asking the  
16 question couldn't we go final and let you make  
17 your editorial changes? And what I'm hearing is  
18 no. Once we finalize it that this has been  
19 encrypted and chiseled in stone and no changes can  
20 be made. Fine, table it. Are we all okay with  
21 that?

22 DR. LIEBLER: Yes, International Journal

1 of Toxicology does include supplemental  
2 information.

3 MS. GILL: It does?

4 DR. LIEBLER: I just looked on their  
5 current online table of contents, yes. There's an  
6 article with supplemental information as a  
7 separate hyperlink. So it's a vehicle that you  
8 can use to do reports.

9 DR. BELSITO: Okay.

10 MS. GILL: But I'm not sure in this case  
11 it would address the council. So I think you can  
12 --

13 MR. ANSELL: The movement to respond to  
14 the panel's desire would be satisfied by moving it  
15 into supplemental information. And I think the  
16 suggested text that we understand that there's  
17 confusion between aluminum, aluminum salts,  
18 aluminum hydroxide in the text and then referring  
19 people to if you're interested in aluminum, here's  
20 where to look, would be entirely consistent.

21 MS. GILL: So, Jay, would it need to be  
22 tabled if that section were supplemental

1 information and then the words Dan suggested at  
2 the beginning. Some language in the introduction  
3 about that information as found there and so did  
4 the use and the terminology, the corrections made  
5 about what's a --

6 MR. ANSELL: I think the amount of  
7 editorial work within the body really we want  
8 another shot to look at it. And if that requires  
9 tabling within the process then yes we would want  
10 to table it. And then idea that you can move all  
11 the data that's been pulled out into supplemental  
12 is fine but it's really the report as stands that  
13 we want to have a --

14 DR. BELSITO: We table it. Okay.  
15 Achillea, so in June we got new sensitization data  
16 at point oh four percent, seems to satisfy the  
17 safety. The highest use concentrations concluded  
18 they were safe as used. We discussed the LLNA and  
19 expressed concerns about using LLNA for mixtures.  
20 Council has made some changes in the conclusion  
21 and abstract formulated to be non-sensitizing.  
22 And so now we're being asked to look at this

1 document and see is everything intact the way we  
2 want it.

3 I guess first and foremost just as a  
4 matter of corrections, I mean the genus should  
5 always be capitalized. So Achillea should be  
6 capitalized throughout. I had on page 26 of the  
7 pdf document going on to page 27 we mention at the  
8 bottom in our summary about constituents of  
9 concern. I think we should include pesticides and  
10 heavy metals as well as the sensitizers.

11 Then on page 27 of the pdf on the panel,  
12 the incidental inhalation, we have one, two,  
13 three, four, five, six, seven, eight, nine lines  
14 down, it says, respiratory tract present no  
15 toxicological concerns based upon the chemical and  
16 biological properties. It should be, "of these  
17 ingredients." And I think that was all I had.  
18 Otherwise, I thought it looked good. Paul?

19 DR. SNYDER: Yes, I just had a few  
20 editorial things.

21 DR. LIEBLER: Minor edits, nothing else  
22 to add.

1                   MR. ANSELL: We would like to raise a  
2                   comment concerning the conclusion that since the  
3                   material contains non-sensitizers that the  
4                   formulated to be non-sensitizing phrase be added.

5                   DR. BELSITO: So in present practice of  
6                   use in concentrations in cosmetics when formulated  
7                   to be non-irritating?

8                   MR. ANSELL: Non-sensitizing.

9                   DR. BELSITO: Non-sensitizing rather.

10                  DR. SNYDER: If we had HRIPT of the use  
11                  concentrations that were negative.

12                  MS. LORETZ: That's because it's a  
13                  botanical and known sensitizers in it so it's --  
14                  it would only be that circumstance.

15                  DR. BELSITO: Well, I guess looking at  
16                  what you have the highest thing that would bother  
17                  me so far is linalool at 4,000 parts per million.  
18                  So that's what, point oh four percent of a plant?  
19                  And then the concentration of use is I thought  
20                  very low.

21                  MS. BECKER: Zero point zero four  
22                  percent.

1 DR. BELSITO: Zero point zero four?

2 MS. BECKER: Percent is the highest --

3 DR. BELSITO: Highest concentration and  
4 the concentration of linalool is point --

5 MS. BECKER: In the plant before  
6 processing at max 4,000 ppm.

7 DR. BELSITO: Right. So 4,000 ppm is  
8 point oh four percent, correct? One, two, three,  
9 four, five, six, point four percent. So point  
10 four percent and the highest concentration of use  
11 is?

12 MS. BECKER: Zero point zero four.

13 DR. BELSITO: Point oh four percent. So  
14 you got point zero zero one six. Pretty low  
15 percentage of a sensitizer to be concerned about  
16 plus as Paul said we have HRIPT data.

17 MR. ANSELL: I guess the consensus was  
18 that the inclusion of the phrase would highlight  
19 or underline the presence of the material and make  
20 sure manufacturers were aware.

21 DR. BELSITO: Well, I mean I'm more  
22 concerned that we get the boilerplate in there,

1       that it not be combined with other things that  
2       contain linalool that then create a concentration  
3       of linalool in the finished product that are  
4       issues which, you know, is my concern. So my  
5       concern isn't with this as used if it's the only  
6       linalool containing ingredient in the finished  
7       product I'm not concerned. I mean, if you want to  
8       say and that's going to be an issue for all the  
9       botanicals is when you're adding botanicals that  
10      you could get to levels of an ingredient that  
11      could then sensitize.

12                 If you want to put when formulated to be  
13      non- sensitizing, I'm very happy to do that. And  
14      then that would reinforce the boilerplate that  
15      we'll be raising when we see other botanicals that  
16      have sensitizers which is going to be pretty much  
17      true for all the botanicals we look at.

18                 DR. LIEBLER: I mean if you do it for  
19      this one, why not do it for all botanicals?  
20      Because they'll all essentially have sensitizers  
21      in them.

22                 DR. SNYDER: And we addressed it pretty

1 good in the summer. I mean we say that we  
2 acknowledge they're in there. So we say that  
3 there are levels below.

4 DR. BELSITO: Right and then we need to  
5 discuss the boilerplate about stacking them on top  
6 of each other. I mean, I'm fine putting it --

7 MS. EISENMANN: I thought it might be  
8 useful for all the botanicals that are asteraceae  
9 family because they have a known issue of being  
10 sensitizers themselves.

11 DR. BELSITO: Right.

12 MS. EISENMANN: Not necessarily other  
13 plants but that one family.

14 DR. BELSITO: Right.

15 MS. EISENMANN: It doesn't include what  
16 these other that you reviewed, too.

17 DR. BELSITO: Right. I'm fine with  
18 putting that in.

19 DR. LIEBLER: Okay, but that's a  
20 different kettle of fish because the way it was  
21 pitched to us just now is that they have  
22 sensitizers in them. Well, so do all the

1 botanicals. So.

2 MS. EISENMANN: But I mean that family  
3 in particular has issues.

4 DR. LIEBLER: Right, that makes more  
5 sense to me.

6 DR. BELSITO: And I'm fine since we're  
7 going to be creating a boilerplate. I think  
8 whenever we have a boilerplate that we'll say you  
9 need to use caution when combining this with other  
10 botanicals that might contain linalool or cinnamal  
11 or whatever the ingredient sensitizer of concern  
12 is. That we then put in the conclusion when  
13 formulated to be non-sensitizing, I'm very happy  
14 with that. I think it really reinforces what do  
15 they mean not sensitizing and when they read the  
16 discussion they'll clearly see, you know,  
17 particularly the ingredients we're concerned  
18 about. I'm fine. Dan? Paul?

19 DR. LIEBLER: If we do that we just need  
20 to add a sentence to the discussion.

21 DR. BELSITO: Yes, for the others.

22 DR. LIEBLER: To explain that we were --

1       that that family --

2                   DR. BELSITO: Well, there will be a  
3 sentence in this discussion because we're going to  
4 have the botanical boilerplate coming up in a few  
5 more so that's something that needs to be added to  
6 the discussion.

7                   DR. LIEBLER: But do we have any  
8 language in here, Carol, that already refers to  
9 the family that you're referring to? Or is this  
10 going to hit people kind of out of left field if  
11 we mention it in the discussion?

12                   DR. SNYDER: I think we can add that  
13 right at that last sentence here.

14                   MS. EISENMANN: I think it was a  
15 description of the plant in the beginning I think  
16 that puts it in that family with the historical  
17 name of the compositae.

18                   DR. LIEBLER: I'm not seeing it.

19                   DR. BELSITO: Summary of the original.

20                   DR. LIEBLER: It's only mentioned in  
21 passing in provocative testing. This is on pdf  
22 page 22 under the summary, the original safety

1 assessment second paragraph. In provocative  
2 testing a number of patients reacted to a  
3 compositae mix that contained yarrow. Is that  
4 what you're referring to compositae?

5 MS. EISENMANN: It's also earlier I  
6 think there's a little description of the plant  
7 right here in the front. It's like in the  
8 chemistry section.

9 DR. BELSITO: I'm looking. I don't see  
10 it.

11 DR. LIEBLER: I don't see it.

12 MS. BECKER: It might be in the original  
13 safety assessment not this one.

14 DR. BELSITO: No, I don't see it, Carol.

15 MS. EISENMANN: Okay. It must have been  
16 in one of the other plant reports.

17 MS. BECKER: I think it is in the  
18 original.

19 DR. LIEBLER: So if you -- so the  
20 suggestion to add the non-sensitizing now makes  
21 more sense in light of what you just said as  
22 opposed to the logic of well, these contain

1 sensitizers because almost all botanicals do. So  
2 but we need to have enough of a description so  
3 that we can mention it first in the chemistry  
4 section and then mention it in the discussion that  
5 botanicals of that family have been associated  
6 with sensitization and provide a reference.

7 So then do we say even though we have an  
8 HRIPT at highest use that was negative we still  
9 raise the concern?

10 DR. BELSITO: Yes, because the  
11 formulation needs to ensure that this product when  
12 combined with potentially other botanicals or  
13 other sources of linalool or whatever happens to  
14 be the allergen of concern will be non-  
15 sensitizing.

16 MR. ANSELL: Right, it can be used  
17 safely.

18 DR. LIEBLER: Okay.

19 MS. EISENMANN: Well and then also the  
20 variability within one.

21 DR. BELSITO: I understand, right.

22 MS. EISENMANN: And the extracts, too.

1 DR. BELSITO: So we need to put  
2 somewhere I don't know that we need to introduce,  
3 we can just put it in the discussion that, you  
4 know, the panel is aware that as a member of the  
5 compositae family this contains sesquiterpene  
6 lactones that have been shown to be sensitizing.  
7 You know, at the levels of reported use of  
8 Achillea millefolium this should not be an issue,  
9 however, when blended with other botanicals, you  
10 know, whatever the boiler plate we decide and then  
11 that would be the way we'd introduce it.

12 DR. SNYDER: I think we have the place  
13 to do it right here already in the discussion  
14 because we talk about the idea that the cosmetic  
15 formulation can contain multiple botanicals.

16 DR. BELSITO: Right.

17 DR. SNYDER: I think we need to and this  
18 is what I was thinking about in the (inaudible).  
19 I mean we can make it specific to this, whatever  
20 family the constituents in and this case is a good  
21 example because here we can say, we should  
22 identify the constituent concerns. We identify

1       them in the report and then the use of other  
2       botanical ingredients to make it plain these  
3       constituents or concern in combination with  
4       milleforme could result.

5                 DR. BELSITO: Well, we have it in the  
6       next paragraph. The panel noted that among the  
7       constituents are these botanical ingredients, were  
8       linalool, thujone, quercetin and hydroquinone.

9                 MS. EISENMANN: One comment. Instead of  
10      ingredients it's components of the plant.

11                DR. BELSITO: Components, right.

12                MS. EISENMANN: Because there was some  
13      analytical data that showed thujone was not  
14      present in at least one of the ingredients at a  
15      level of 300 ppm.

16                DR. BELSITO: Right.

17                MS. EISENMANN: So the components there  
18      are what's in the plant rather than ingredients.  
19      We don't know for sure. I mean they may or may  
20      not be in the ingredients.

21                DR. BELSITO: Right, I understand but I  
22      mean my thinking was this whole section starting

1 on 26 of the pdf with the cosmetic formulation may  
2 contain multiple botanicals, through the first  
3 full paragraph on page 27 is going to need to be  
4 readjusted depending upon how we agree on the  
5 boilerplate. So I wouldn't waste time with this.  
6 At this point we've agreed when formulated to be  
7 non- sensitizing and then the conclusion we'll  
8 worry about wordsmithing the discussion once we  
9 get to the botanical boilerplate. Does that make  
10 sense? Okay, should we take like a five minute  
11 break?

12 DR. LIEBLER: Sure.

13 DR. BELSITO: So it's 3:10. Be back at  
14 3:15. Stretch your legs.

15 (Recess)

16 DR. BELSITO: Okay, are we all set to  
17 regroup here, folks? Okay, so we're on our one  
18 hair dye ingredient for this meeting which is  
19 hydroxypropyl Bis  
20 (N-hydroxyethyl-p-phenylenediamine) and last time  
21 we looked and we -- no concerns regarding this  
22 specific hair dye. A major question was our

1 recommendations regarding self- testing and the  
2 Europeans' concern that this could induce  
3 sensitization in the population and we've now  
4 dealt with that in our discussion.

5           And so, we're going to safe as used with  
6 this. And I had some comments here. In the  
7 abstract it says that the language is somewhat  
8 convoluted. It says safe as a cosmetic ingredient  
9 in the practice of use and concentration of this  
10 safety assessment in cosmetics which is not -- so  
11 I deleted all that. Was safe in the present  
12 practices of use and concentration in cosmetics as  
13 described in the safety assessment which I believe  
14 is our boilerplate.

15           Some typos. On page 20 of the pdf where  
16 is says the panel noted that the use of oxidative  
17 hair dye formulations involves exposure to  
18 precursors and coupling agents as well as to their  
19 reactor molecules. And then you go on to talk  
20 about reaction intermediates and human exposure  
21 and is to the coupling agent, a reaction products  
22 not to a reaction intermediates.

1                   It's my understanding that at least for  
2                   p-phenylenediamine Bandrowski's base, which is a  
3                   reactive intermediate, is one of the potential  
4                   sensitizers. So I don't think that that's a true  
5                   statement. And I'm not sure that we should be  
6                   saying that. I think reaction intermediates can  
7                   be sensitizers.

8                   MR. ANSELL: Let me get our hair dye.  
9                   Here she is.

10                  MS. LORETZ: I'm sorry.

11                  DR. BELSITO: Well, on page 20 of this  
12                  document, of the pdf, the first paragraph it says,  
13                  while reaction intermediates may be formed, human  
14                  exposure is to the precursors and coupling agents  
15                  and to reaction products not to reaction  
16                  intermediates. Well, that's not true because  
17                  reaction intermediates occur on the human to begin  
18                  with. So there is exposure to the intermediates,  
19                  no?

20                  MS. LORETZ: It has to do with the level  
21                  of exposure and how.

22                  DR. BELSITO: Okay, but has it not been

1 shown that in some individuals allergic to  
2 p-phenylenediamine Bandrowski's base is one of the  
3 potential allergens and is that not a reactive  
4 intermediate?

5 MS. LORETZ: I don't know what that  
6 chemically is.

7 DR. BELSITO: Well, then you may want to  
8 Google Bandrowski's base because it's my  
9 understanding that it's a reactive intermediate it  
10 PPD and that it's been shown to be a sensitizer.  
11 So I think that this whole paragraph is incorrect.  
12 I think humans are exposed to the reactive  
13 intermediates because the reaction occurs on the  
14 scalp on the hair.

15 MS. LORETZ: I mean this goes back to  
16 Julie Skare's presentation and looking at the  
17 intermediates and how freely they're formed and  
18 how mobile at the time, therefore how low the  
19 exposures are. So I don't know exactly what the  
20 exact wording is but that's why it becomes --  
21 that's why it's not an issue relative to the  
22 exposures to the --

1 DR. BELSITO: I guess if -- I may have  
2 missed that part of her presentation but because  
3 again, I think there's data showing that at least  
4 for paraphenylenediamine a reactive intermediate  
5 has been shown to be a sensitizer in some  
6 individuals.

7 DR. BRESLAWEC: Is this a question --

8 MR. ANSELL: I think it's --

9 DR. BRESLAWEC: -- to the writers?

10 DR. BELSITO: No, this is a question  
11 that I'm saying that I disagree with what's  
12 written here. That I think it should be deleted.  
13 I think that individuals are exposed to reactive  
14 intermediates because the reactive intermediates  
15 occur on the scalp. So A) there is exposure,  
16 there is not no exposure and number two, at least  
17 for PPD, I think it's been shown that in some  
18 individuals a reactive intermediate that goes by  
19 the term Bandrowski's base, and I'm not sure what  
20 that actually is, can be a sensitizer.

21 So A) people are exposed to the reactive  
22 intermediate and B) at least in cases of one hair

1 dye it's been shown to be a sensitizer. So I  
2 would delete that entire paragraph.

3 DR. LIEBLER: So there is a reference  
4 I'm looking at on PUBMED which is about  
5 paraphenylenediamine allergy and the role of  
6 Bandrowski's base. It's from White & Colleagues.  
7 It's in clinical and experimental allergy from  
8 2006.

9 And Bandrowski's base is a trimer  
10 derived from paraphenylenediamine oxidation. So  
11 it's a quinoid like structure. It's stable enough  
12 to isolate and do studies with and it was patch  
13 tested in this study and it was approximately 10  
14 times more potent than PPD.

15 So with the assumption that Bandrowski's  
16 base is an intermediate in the hair dye chemistry  
17 being discussed then the existence of this sort of  
18 suggests that that statement as written can't be  
19 correct. It doesn't necessarily mean that we have  
20 a major problem with these but --

21 DR. BELSITO: No, I'm not saying there's  
22 a problem. I'm just saying that what's there is

1 not --

2 DR. LIEBLER: It's just the language  
3 can't be so absolute as to say there's absolutely  
4 no issue don't even --

5 DR. BELSITO: -- is not true. So I  
6 mean, I would get rid of that entire paragraph  
7 because I don't think what's being said in that  
8 paragraph is true. It may be true for this  
9 particular hair dye but it's not true for  
10 oxidative hair dyes in general because it's not  
11 true for PPD at least. And I don't think we need  
12 the paragraph. I'm just saying that anyone  
13 reading this that's familiar with the literature  
14 on Bandrowski's base is going to say, my God,  
15 these people are stupid. There's data suggesting  
16 that this is not true.

17 DR. SNYDER: I did have a note to move  
18 this part to the intro because the intro we  
19 mention it's an oxidative hair dye but that's it.  
20 One sentence. We don't talk anything about --

21 DR. BELSITO: Or we shouldn't move it  
22 because it's not true.

1 DR. SNYDER: Well, I mean but the idea  
2 that there are precursors and couplers and  
3 reaction products and cause I think that is  
4 relevant. Because to a person reading a  
5 standalone document because right now we just say  
6 it's an oxidative hair dye, that's it.

7 DR. BELSITO: Yes, but we say that  
8 because oxidative hair dyes are covered by the  
9 adulteration law. I mean that was the whole  
10 purpose for putting that in. That sensitization  
11 essentially is a non-issue with an oxidative hair  
12 dye when it's label to do testing.

13 I mean if, in fact, reactive  
14 intermediates weren't sensitizers then it may be  
15 worth putting in the introduction. I mean I would  
16 just delete the entire paragraph is what I'm  
17 saying.

18 DR. BRESLAWEC: I think it was  
19 boilerplate lined. I certainly understand what  
20 you're saying and it's appropriate (inaudible).

21 MR. ANSELL: Well, certainly the  
22 sentence that says no exposure to the reaction

1 product intermediates. Some of the other stuff  
2 might be more relevant that the exposures are low,  
3 absorption into the hair shaft, safety evaluation  
4 focused on ingredients more than the reaction  
5 products might be relevant in the intro.

6 DR. BRESLAWEC: Perhaps it would be a  
7 good time to revisit that particular boilerplate.

8 DR. BELSITO: I don't remember ever  
9 seeing that boilerplate. That's been a  
10 boilerplate in hair dyes? Again, I --

11 DR. BRESLAWEC: Well --

12 MS. GILL: The hair dye epidemiology in  
13 the hair dye is a boilerplate.

14 DR. LIEBLER: Could you not deal with  
15 this problem by just deleting the second sentence  
16 of that paragraph?

17 MR. ANSELL: Yes, I think --

18 DR. LIEBLER: Because the first sentence  
19 says the use of oxidative hair dye formulations  
20 involves exposures to precursor and coupling  
21 agents as well as to their reaction product. So  
22 that acknowledges that the reaction products are

1           there. And then if you nix the next sentence --

2                     DR. BELSITO: I'm fine with deleting  
3           that, yes.

4                     MR. ANSELL: I think they're low and  
5           talk about absorption so I think the second  
6           sentence is the part that has to go.

7                     DR. LIEBLER: Yes.

8                     DR. BELSITO: Fine. Okay. And then our  
9           conclusion needs to be worded correctly. The CIR  
10          concluded it's safe in the present practice of use  
11          and concentration as described in the safety  
12          assessment period.

13                    MS. BECKER: And we decided a meeting or  
14          two ago that somewhere in the conclusion it needs  
15          to say in cosmetics.

16                    DR. BELSITO: Is safe in cosmetic in the  
17          present practice of use and concentrations as  
18          described in the safety assessment.

19                    MS. BECKER: All right.

20                    DR. BELSITO: That was it from me.

21          Paul?

22                    DR. SNYDER: No further.

1 DR. LIEBLER: That's it for me.

2 DR. BELSITO: Okay. Phytosterols. I  
3 had basically safe as used. Dan, were you okay  
4 with the grouping and I guess this is going to be  
5 one of those things where we have the question of  
6 diosgenin, whether we're going to keep that in.  
7 Did council want us to take that out?

8 DR. BRESLAWEC: Actually what I think  
9 what we were hoping for is a more robust search  
10 strategy so that diosgenin and beta-sitosterol  
11 would be, those terms would be searched as well  
12 because the only thing, the search was  
13 sitosterols.

14 DR. BELSITO: Well, that was my next  
15 question whether we had all of the appropriate  
16 data.

17 MS. BECKER: When I did the search those  
18 two ingredients came up quite often, quite a bit  
19 and since they finished with Dr. Marks I did a  
20 search and I eked out six more papers that I'm  
21 only expecting two of them to be relevant.

22 DR. LIEBLER: It's good to be thorough.

1 DR. BELSITO: So this is important in  
2 advancing. So.

3 DR. LIEBLER: So I'm fine with the  
4 grouping and I have a modest suggestion for figure  
5 1 and figure 2 is that I suggest sort of about a  
6 six to eight structure figure. One that has the  
7 structures currently shown in figure 1 and figure  
8 2 plus a few of the other predominant sterol  
9 structures so they have a little bit broader  
10 representation of the sterols nuclei that make up  
11 this group. So that would be easy to do. You've  
12 got a lot of white space there as it is and you  
13 could fill that with six or eight in two rows.

14 MS. BECKER: Are you suggesting what  
15 structures to add or?

16 DR. LIEBLER: I would suggest that you  
17 choose structure that are most predominantly  
18 represented in concentrations or as frequency of  
19 mention across the grouping.

20 DR. BELSITO: Okay, so Dan and I have  
21 both said safe as used. We've heard a request for  
22 further searches on beta-sitosterol and diosgenin.

1       There hasn't really been an answer to the question  
2       I raised whether we're going to keep diosgenin in  
3       here since we decided to get rid of rosmarinic  
4       acid from the other report. There's no reported  
5       uses for it so I was thinking that at least based  
6       upon our discussion before we would drop that.

7                 The same I guess would be true of  
8       beta-sitosterol or I don't know how you want to  
9       deal with that.

10                DR. LIEBLER: So perhaps you could  
11       clarify for me because in table 8 on pdf page 23  
12       the right-hand column is headed Beta-sitosteryl.

13                MS. BECKER: Typo.

14                DR. LIEBLER: Typo? But then we have  
15       beta-sito -- if that's beta-sitosterol then we  
16       have 48 uses and a range of concentrations.

17                DR. BELSITO: What page are you on?

18                DR. LIEBLER: Pdf page 23.

19                DR. BELSITO: I have a pdf, sorry wrong  
20       report. So Paul, you haven't weighed in. Where  
21       are you?

22                DR. SNYDER: I'm fine with the

1 discussion and grouping if Dan's okay with it.

2 DR. LIEBLER: So just to clarify we'll  
3 keep beta-sitosterol and we have uses and  
4 concentrations. Diosgenin we don't so that goes  
5 out.

6 DR. BELSITO: I'm fine with that. So  
7 we're going to delete diosgenin and we're going to  
8 leave beta- phytosterol in. Safe as used. Do a  
9 slightly more rigorous literature search and in  
10 terms of discussion obviously plant products of  
11 the usual plant boilerplate. I mean these are --  
12 I don't know if the botanical boilerplate is going  
13 to be relevant to these as I see the chemical  
14 composition of these that are --

15 MR. ANSELL: I think when we --

16 DR. BELSITO: They're not going to  
17 contain a lot of sensitizers.

18 MR. ANSELL: I think when we get into  
19 the boilerplate it will be generic enough that we  
20 can choose it or not. I mean the caution about  
21 the constituents should be, well, as a boilerplate  
22 it should be broadly relevant to all these

1 materials.

2 DR. BELSITO: Okay. So anything in the  
3 discussion other than some of form of botanical  
4 boilerplate with usual plant caveats?

5 DR. LIEBLER: No.

6 DR. SNYDER: None.

7 DR. BELSITO: Okay. So then I guess  
8 that moves us to the botanical boilerplate. So at  
9 the last meeting we discussed needing to put this  
10 together but it seems to me so abstract in the  
11 absence of not dealing with a specific botanical  
12 ingredient. This, by the way, is in the admin  
13 folder.

14 DR. SNYDER: I think it's a standalone.

15 DR. BELSITO: No, it's -- no. Was it a  
16 standalone?

17 DR. SNYDER: I got a separate word  
18 document for that.

19 DR. LIEBLER: It's in the administrative  
20 folder.

21 DR. BELSITO: Yes, that's what I  
22 thought. I can't find it. Okay.

1 MS. BECKER: It's page 33.

2 DR. BELSITO: Yes, starts on guidance  
3 for discussion on page 34. I mean the heavy metal  
4 pesticide boilerplate that's fine. I have no  
5 comments on that. The aflatoxin I thought was  
6 fine. The constituent I thought was fine just  
7 really is identifying what constituents we were  
8 concerned about. Presumably it would be on the  
9 heavy metals and pesticides and aflatoxin if  
10 appropriate. And I guess where it started getting  
11 confusing for me as to how this would apply was on  
12 page 35 where we start looking at all the various  
13 options.

14 If not concentration limit has been  
15 specified for the constituents of concern and the  
16 threshold of toxicological concern approach was  
17 not applied, okay, I mean I guess in the case of  
18 H.perforatum what's written sort of makes sense to  
19 me.

20 MR. ANSELL: We had some problems with  
21 this as well I think partially because they're  
22 mixing boilerplate which should be very short,

1       useful across a wide variety of botanicals with a  
2       structural discussion as to what the elements  
3       should be. And then by providing specific  
4       examples it drives it down to a unique botanical  
5       itself. And when you mash all of those together  
6       it's kind of hard to follow.

7                 So we were suggesting that as it relates  
8       to the approach as we discussed earlier that we  
9       fully agree with the suggestion looking at the  
10      major elements in each of these examples that the  
11      structure should be what did you review? What did  
12      you find? And what were the conclusions? And  
13      then these are just illustrative examples of those  
14      three elements applied to a couple of botanical  
15      examples and I don't know that we would  
16      necessarily agree with these particular  
17      conclusions. But the idea that it should be what  
18      did you review, what did you find and the  
19      conclusion we think is entirely appropriate for  
20      the botanicals.

21                 As it relates to the boilerplate itself  
22      it seems that from the last meeting the issue that

1 we keep coming on is that botanicals are complex  
2 mixtures and that constituents of that complex  
3 mixture or that any particular formulation may  
4 have a mixture of botanicals which all contribute  
5 to one constituent. And so, the presence or the  
6 concentration of one constituent to the final  
7 product and so we in our recommendation try to  
8 capture that and we think that the language is  
9 generic enough that it would be useful across  
10 essentially most botanicals.

11 As botanical ingredients derived from  
12 natural plant sources are complex mixtures, the  
13 panel expressed concern that multiple botanical  
14 ingredients may each contribute to the final  
15 concentration of a single component. Therefore,  
16 when formulated products manufacturers should  
17 avoid reaching levels of plant constituents that  
18 may cause sensitization or other adverse effects.

19 And so, we can certainly wordsmith that  
20 but the idea of the boilerplate should be that  
21 it's --

22 DR. BELSITO: I mean I think that's

1 great. We're just given this document this  
2 morning.

3 MR. ANSELL: Right. So I thought we  
4 would kind of explain it. So those were our broad  
5 comments on the botanical discussion. We do have  
6 some suggestions as it relates to, if we're going  
7 to revisit heavy metals and aflatoxin that there's  
8 some editorial suggestions we'd like to make there  
9 as well.

10 DR. BELSITO: I mean let's look at them.  
11 I mean I think they're all fine. So abstract and  
12 pointing out that we have 150 word limit, but you  
13 know I like what you say as long as it fits within  
14 the limit. Heavy metals, pesticides, limit these  
15 impurities, aflatoxin recognizes rather than  
16 adopted. I don't have problems with those  
17 languages. Dan? Paul?

18 DR. LIEBLER: So I like the language and  
19 again I'm just looking --

20 DR. BELSITO: These are the new  
21 handouts. It's what we got this morning. This is  
22 industry's response to the whole thing we

1 reviewed. You saw that?

2 DR. LIEBLER: I saw that, yes. So I  
3 like the revised version of the language for the  
4 abstract. It's just a little bit more economical  
5 and saves a few words but it makes the right  
6 point. Heavy metals, also agree for the same  
7 reason. Aflatoxin, the edit's reasonable. Then  
8 the opening paragraph language I haven't had a  
9 chance to look at yet. But as long as it's as  
10 brief as what's in our admin document.

11 DR. BELSITO: It's briefer.

12 DR. LIEBLER: It's briefer, that's  
13 better.

14 DR. BELSITO: It doesn't have all the  
15 various permutations and options.

16 DR. LIEBLER: Yes, good. So I'm fine  
17 with these revised versions and I do agree that  
18 the examples that were pulled from our other  
19 reports where we've already sort of wordsmithed  
20 the language, they're examples that probably would  
21 have limited generalizability and so it's not  
22 really boilerplate anymore. So I think our

1 boilerplate for botanicals should probably be  
2 limited to the abstract metals, pesticides and  
3 opening paragraph.

4 DR. BELSITO: Lillian?

5 MS. BECKER: One. Let's say framework  
6 instead of boilerplate because it is not static  
7 yet and it's not meant to be static. And the  
8 other thing is the opening paragraph doesn't  
9 address multiple products having the same  
10 ingredients in there like the shampoo, conditioner  
11 and other things that we were talking about for  
12 the last several meetings.

13 DR. BRESLAWEC: That's an issue of  
14 aggregate exposure and I'm just not sure that the  
15 panel has fully addressed that. And that would be  
16 something you'd address not just in the context of  
17 botanicals.

18 DR. BELSITO: Yes.

19 DR. LIEBLER: Right.

20 DR. BRESLAWEC: So I'm not sure this is  
21 the place to deal with it.

22 MR. ANSELL: Right. It perhaps

1       premature to start talking about framework on  
2       something we haven't really decided how we're  
3       going to address broadly.

4               DR. BELSITO: Well, yes, I mean I guess  
5       it's interesting we've never addressed that and I  
6       guess the question is are there reasons to address  
7       it? And there probably have been. You know, the  
8       fragrance industry does that all the time right  
9       upfront in their dossiers is the expected total  
10      aggregate exposure of a consumer based upon  
11      typical use patterns for that specific fragrance.

12              And when we've dealt with compounds that  
13      we're getting close to sensitization level which  
14      is typically the threshold of concern for the  
15      fragrance industry maybe we should have looked at  
16      aggregate exposure.

17              DR. BRESLAWEC: Well, when you look at  
18      you're not even limited to the botanicals.

19              DR. BELSITO: Right.

20              DR. LIEBLER: Yes, I think that's --

21              DR. BELSITO: And I don't know --

22              DR. SNYDER: That's a different issue.

1 DR. BELSITO: Yes, I mean it's a totally  
2 different issue that we've never really thought  
3 about.

4 MS. BECKER: But you have discussed it  
5 in the last couple of meetings.

6 DR. BELSITO: No, we did.

7 MR. ANSELL: Right, we just don't want  
8 to tie up this botanical boilerplate and throw a  
9 much larger topic on top of it.

10 DR. SNYDER: So for me this generation  
11 of the boilerplate drove a response that I didn't  
12 expect. So one is that I think we need to send a  
13 loud and clear message to industry and that's kind  
14 of what I addressed after which I think  
15 encompasses some of the council's concerns about  
16 it. I think that, I mean I can really say that  
17 because formulations are often complex mixtures of  
18 botanic ingredients potentially containing similar  
19 constituents of toxicologic concern, formulators  
20 must develop strategies to limit their levels in  
21 final products.

22 Central to determining safety is

1 accurate information on the composition of the  
2 specific botanical or plant component potentially  
3 from different sources if necessary. Botanicals  
4 often contain multiple constituents and therefore  
5 the most representative safety data relevant to  
6 cosmetic use would be studies that identify  
7 toxicities of the most relevant plant components.

8           We're clipping it and sending a loud  
9 message to industry, we need better data. And I  
10 think we're trying to develop a framework or a  
11 boilerplate in the absence of sending a larger  
12 message that we need better data on composition  
13 and toxicity studies that look at the actual  
14 composition of the ingredients that we're  
15 assessing. So I think right now we're really  
16 patching things and I'm a little nervous about  
17 some of the things that we've done or are  
18 continuing to do in regards to what are we  
19 actually testing for.

20           MR. ANSELL: Well, providing that type  
21 of guidance is entirely appropriate.

22           DR. SNYDER: Right.

1                   MR. ANSELL: But do you want that  
2 guidance to be in every botanical report? I mean  
3 it --

4                   DR. SNYDER: No, no, that's why I'm  
5 saying that's why it's conflicted. And I really  
6 started to craft this and it was really more a  
7 guidance of what the panel really wants from  
8 industry rather than a boilerplate. But it could  
9 be encompassed in the boilerplate. Perhaps we say  
10 that the safety assessment contains what the panel  
11 believes to be a robust data set on composition  
12 supported by animal studies using constituents of  
13 a botanical, blah blah blah. You know what I  
14 mean? In their final assessment or something like  
15 that but right now I think it's -- I agree with  
16 Don.

17                   And I had a really hard time rewriting  
18 some of these and crafting these because it just  
19 was coming unwound as far as it depended upon what  
20 botanical I was talking about or what constituent  
21 I was talking about. So you can't generally just  
22 say sensitizers. You can't just generally say

1       liver toxicity. It depends upon the individual  
2       ingredient and so the amount of confidence that I  
3       have is all driven by the scientific data set that  
4       we received. Meaning the composition, what are we  
5       looking at, what is those botanicals and what  
6       studies support the safety of those components?

7                 DR. LIEBLER: So we can't reduce these  
8       discussion paragraphs, these broader discussion  
9       paragraphs to boilerplate. It just won't work.

10                DR. BRESLAWEC: And we agree.

11                DR. LIEBLER: So we shouldn't even try.  
12       I think we should declare victory and go home  
13       because we basically got, I think we've got -- I  
14       think that the initial versions in our admin  
15       document are reasonable and I like the council  
16       proposed edits a little better in each case mainly  
17       because of clarity and brevity and particularly in  
18       the abstract words count. And as well as the more  
19       thoroughly rewritten opening discussion paragraph  
20       that it conveys the right messages and gets us off  
21       this cumulative exposure issue which is a valid  
22       issue but not a botanical specific issue.

1                   So I think we're good where we are. I  
2                   mean if you guys agree with me about the edited  
3                   versions.

4                   DR. BELSITO: Yes, I mean I'm fine with  
5                   what council is proposing and the boilerplate for  
6                   the opening of the discussion paragraph and then  
7                   as they say, the discussion really will flow from  
8                   what our concerns were. And you really can't, you  
9                   know --

10                  DR. BRESLAWEC: But basically the  
11                  discussion will address what the particular  
12                  circumstances are, whether a limit was set and if  
13                  so, how? How will it be changed if you use TTC or  
14                  something else?

15                  DR. BELSITO: I think it's fine.

16                  DR. LIEBLER: I think if I were a writer  
17                  doing one of these reports I would probably be  
18                  thinking about looking at previous reports that  
19                  the expert panel had agreed on where the situation  
20                  might be similar and take a look at that as sort  
21                  of a reference for the language. Not as  
22                  boilerplate but as (inaudible) that's right. We

1 can take that approach.

2 So I mean we're going to have something  
3 less than a true boilerplate for most of the meat  
4 of these discussions.

5 DR. BELSITO: Yes.

6 MS. BECKER: Framework.

7 DR. LIEBLER: So framework as opposed to  
8 a boilerplate.

9 DR. BELSITO: Okay. Anything else on  
10 our framework rather than a boilerplate for  
11 botanicals? We got some boilerplate. We got some  
12 boilerplates. We got a little action there.  
13 Okay. Any other issues before we call it a night?  
14 If not, we meet in the lobby at what time? 6:00?

15 (Whereupon, the PROCEEDINGS were  
16 adjourned.)

17 \* \* \* \* \*

18

19

20

21

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

CERTIFICATE OF NOTARY PUBLIC

DISTRICT OF COLUMBIA

I, Irene Gray, notary public in and for the District of Columbia, do hereby certify that the forgoing PROCEEDING was duly recorded and thereafter reduced to print under my direction; that the witnesses were sworn to tell the truth under penalty of perjury; that said transcript is a true record of the testimony given by witnesses; that I am neither counsel for, related to, nor employed by any of the parties to the action in which this proceeding was called; and, furthermore, that I am not a relative or employee of any attorney or counsel employed by the parties hereto, nor financially or otherwise interested in the outcome of this action.

(Signature and Seal on File)

-----

Notary Public in and for the District of Columbia

My Commission Expires: April 30, 2016

