

128th COSMETIC INGREDIENT REVIEW EXPERT PANEL
MEETING
MAIN SESSION

Washington, D.C.

Monday, September 9, 2013

1 PARTICIPANTS:

2 Voting Members:

3 WILMA F. BERGFELD, M.D., F.A.C.P.
4 Head of Clinical Research and Dermatopathology
The Cleveland Clinic Foundation

5 DONALD V. BELSITO, M.D.
6 Clinical Professor, Medicine (Dermatology)
University of Missouri, Kansas City c/o American
7 Dermatology Associates, LLC

8 CURTIS D. KLAASSEN, Ph.D.
9 University Distinguished Professor and Chair
Department of Pharmacology, Toxicology, and
10 Therapeutics
School of Medicine, University of Kansas Medical
Center

11 DANIEL C. LIEBLER
12 Director, Jim Ayers Institute for Precancer
Detection and Diagnosis
13 Ingram Professor of Cancer Research
Professor of Biochemistry, Pharmacology and
14 Biomedical Informatics

15 RONALD A. HILL, Ph.D.
16 Associate Professor of Medicinal Chemistry
College of Pharmacy
The University of Louisiana at Monroe

17 JAMES G. MARKS, JR., M.D.
18 Professor of Dermatology
Chairman, Department of Dermatology
19 Pennsylvania State University College of Medicine

20 RONALD C. SHANK, Ph.D.
21 Professor and Chair
Department of Community and Environmental Medicine
University of California, Irvine

22

1 PARTICIPANTS (CONT'D):

2 THOMAS J. SLAGA, Ph.D.
3 Department of Pharmacology
4 University of Texas Health Science Center

5 PAUL W. SNYDER, D.V.M., Ph.D.
6 School of Veterinary Medicine
7 Department of Veterinary Pathobiology
8 Perdue University

9 Liaison Members:

10 CAROL EISENMANN, M.D.
11 CIR Industry Liaison

12 HALYNA BRESLAWEC, Ph.D.
13 Personal Care Products Council

14 RACHEL WEINTRAUB
15 Consumer Federation of America

16 STANLEY MILSTEIN, Ph.D.
17 Food and Drug Administration

18 Staff Members:

19 LILLIAN J. GILL, D.P.A.
20 Director

21 LILLIAN C. BECKER
22 Scientific Analyst

23 IVAN BOYER, Ph.D.
24 Senior Toxicologist

25 MONICE FIUME
26 Senior Scientific Analyst

27 WILBUR JOHNSON, JR.
28 Senior Scientific Analyst

29

1 PARTICIPANTS (CONT'D):

2 CHRISTINA L. BURNETT
Scientific Analyst

3

BART HELDRETH, Ph.D.
4 Chemist

5 KEVIN STONE FRIES
Technical Librarian, Editor

6

Other Attendees:

7

DR. DAVID STEINBERG

8

9

10

11

12

* * * * *

13

14

15

16

17

18

19

20

21

22

1 P R O C E E D I N G S

2 (8:30 a.m.)

3 DR. BERGFELD: We're about to begin,
4 please. This is our 128th meeting of the CIR.
5 This is the day of the team meetings, and we have
6 quite a bit of work to do. But I first want to
7 welcome Lillian Gill, who is our new executive
8 director. (Applause)

9 And we're looking forward to great
10 things, Lillian -- and new things.

11 I do want to say that I had an email
12 conversation with Alan, and he's doing well. And
13 we'll share his email with you by email.

14 We have 18 reports to get through today
15 -- 9 going to final, 5 advancing, 1 re-review, and
16 3 new. So we have quite a bit of work to do. We
17 also have the botanical boilerplate, and some
18 other issues, including the priority list, to
19 discuss.

20 So, before I do anything else, I'm going
21 to ask Lillian to address us, and tell us what she
22 needs to tell us about the functions.

1 DR. GILL: Well, good morning again, and
2 welcome to my inaugural meeting as the director of
3 CIR. As you can imagine, there's been quite a
4 change in the feel of the office, at least, for
5 the past two months. But while it's been a
6 change, and it's very different, I think the staff
7 has worked very hard to make the transition as
8 seamless and as smooth as they can possibly make
9 it.

10 Wilma just mentioned that we have a lot
11 of work. So, the work doesn't stop even though
12 the change is there.

13 So, we have a lot to do. I think that
14 you have before us a pretty good set of reports
15 from the staff -- quite a lot of work, Ron. So
16 we're continuing to turn it out.

17 I also want to make a note and a special
18 welcome to Michael Best, who is also with the
19 Consumer Federation of America. He is here today
20 observing our team meetings. He will be taking
21 Rachel's place tomorrow, as she will be absent.
22 So, welcome.

1 And Stan has arrived as our FDA liaison,
2 substituting for Linda.

3 I want to mention that I've given you an
4 article from the New York Times, dated august
5 16th. Jim ran across the article. I had seen it
6 before. It is on lipstick -- metals in lipstick.
7 You may be interested to take a look at what's
8 said in that article.

9 The Council has been busy working on
10 this issue. They interviewed Linda, and she's
11 worked -- has quite a lot of quotes in the article
12 from Linda on it. So you may be interested in
13 taking a look at that.

14 I also want to mention an article that I
15 didn't put in front of you, but I can certainly
16 make sure that you have it by the time the meeting
17 is over today, and it is the public notification
18 from the European Commission on their proposal to
19 restrict the use of methylchloroisothiazolinone
20 and methylisothiazolinone to the use of those as a
21 mixture -- restrict the mixture of those to use to
22 rinse-off products. If you recall, Don raised

1 that issue with us a couple of meetings ago,
2 suggesting that we take another look at it. We do
3 have that on the agenda for the March meeting.

4 We're beginning to collect information
5 on it. So we will be addressing that next year.

6 I also want to mention that the Council
7 has made us aware that as of July 2013, the EU
8 Cosmetic Directive has been replaced with Cosmetic
9 Regulation EC No. 1223/2009. We had a number of
10 comments to our reports from the Council to make
11 that change, and we have noted that, and will make
12 the change.

13 I don't know if there is anything
14 additional that Halyna or Jay may want to say
15 about that.

16 DR. BRESLAWEC: Yes, this is, to some
17 effect, at this point, a major administrative
18 change, but only administrative change in the EU.
19 The regulation was actually passed in 2009, and
20 this had a rolling series of deadlines that have
21 been implemented between 2009 and now.

22 The important thing, I guess, from the

1 CIR perspective is that we can't use the term
2 "directive" anymore. It's a regulation.

3 The annexes -- the numbering of the
4 annexes has changed, so that is going to be, you
5 know, something that needs to be addressed and
6 corrected.

7 But, by and large, the regulation is the
8 same as the directives over the years, which had
9 been amended several times.

10 DR. GILL: Don, did you want to make any
11 additional comment on that?

12 DR. BELSITO: No. My understanding,
13 it's just more of a sort of a name-change, and
14 slightly different approach, in terms of trying to
15 strengthen what their decisions mean, in terms of
16 changing it to "regulation" from "directive." But
17 I don't think it's substantive in any way.

18 DR. GILL: I think that's all I have for
19 comment this morning.

20 DR. BERGFELD: All right. Halyna?

21 DR. BRESLAWEC: Yes, just a little
22 editorializing, if you will permit, on this

1 article.

2 This article was a huge disappointment
3 for us. Linda Loretz spent hours on the phone
4 with Deborah Blum, trying to explain to her,
5 trying to discuss with her the relevance of the
6 issue at hand and, you know, aluminum and cadmium
7 being thrown in the same category as metals.

8 So it was a very big disappointment for
9 us. Linda is here. She is certainly available to
10 provide some insight on this. One of her articles
11 was quoted by the study that this article refers
12 to, and I really -- you know, as an industry, we
13 continue to be puzzled by the hype on the "danger
14 in your lipstick lead" thing. It's one of the
15 areas that has been the most studied by FDA. Over
16 the years, FDA has stated that lipstick -- that
17 lead levels in lipstick continue, you know, do not
18 present a safety hazard. And that really applies
19 to all the other trace -- very, very trace --
20 levels of metals that are found in lipstick,
21 depending on who chooses to do a study at any
22 given time.

1 So, it continues to frustrate me
2 personally because, you know, this is an area
3 where the science supports, pretty unequivocally,
4 the safety of a product, and yet it continues to
5 churn in the public arena.

6 DR. BERGFELD: Thank you. Any other
7 comments? Well, I understand that Belsito team
8 will be the moving team? That's what it said --

9 DR. SLAGA: They have less baggage.

10 DR. BERGFELD: They have less baggage.
11 So, I guess we'll assimilate our teams, and move
12 on to the 18 ingredients, and discussions on the
13 boilerplate and priority list.

14 So, thank you.

15 DR. MARKS: Everybody's so quiet. Okay,
16 let's begin.

17 In the June meeting of this year, the
18 panel issued a safe-as-used conclusion for the
19 tentative report of tromethamine, after adding
20 AMPD and AEPD to the report.

21 So we're at the stage now to issue a
22 final safety assessment for these cosmetic

1 ingredients.

2 Tom, Rons -- any editorial comments?

3 Any change in the conclusion?

4 Nope? Safe?

5 DR. SLAGA: Safe as used?

6 DR. SHANK: Safe as used.

7 DR. HILL: Pardon me -- the conclusion

8 is fine. The report's fine. Well done.

9 DR. MARKS: Okay.

10 SPEAKER: Thank you.

11 DR. MARKS: Any other comments?

12 DR. HILL: A couple of minor things on

13 the discussion, but I don't know if they're just

14 editorial -- I think.

15 DR. MARKS: Okay, Ron, we'll wait a

16 minute. And then we have --

17 MR. HUGHES: Yes -- Brian Hughes, from

18 Dow Chemical. We still have a few minor changes

19 that we'd like incorporated in the final.

20 It does seem that there has been --

21 well, there's a 90-day rat study that was quoted

22 for tromethamine. We think that was actually AMP.

1 And the use rates seemed to be a little strange to
2 us, so we'd like that checked out.

3 So there are a few -- little minor
4 comments we'd like to make before it's final.

5 DR. MARKS: Okay. Those sound editorial
6 to me. So, I don't think it would change the
7 conclusion.

8 Brian, did you think this had any impact
9 on the safety of the ingredients?

10 MR. HUGHES: No.

11 DR. MARKS: No -- okay. Thank you. And
12 then, Ron Hill, I think that Lillian can pick up
13 your editorial comments, unless there's major that
14 you should bring to that team, part of the panel
15 tomorrow.

16 DR. HILL: I don't think so.

17 DR. MARKS: Okay.

18 DR. HILL: I suppose that -- were people
19 puzzled, last meeting on Tuesday, when I --
20 because I talked about the AEPD a good bit on the
21 Monday meeting, and I had time to reflect, over
22 the evening, and decide that all was well, so

1 that's what happened there.

2 DR. MARKS: Okay. Good. Okay, s
3 tomorrow I'll be moving that these ingredients be
4 issued as a final report, with a conclusion of
5 "safe."

6 Okay, as we're still, at least for me,
7 personally, getting used to jumping between paper,
8 electrons, and taking my eye off of the screen and
9 looking at my team members, there may be as fluid,
10 perhaps. Alumina.

11 DR. MARKS: Next is alumina. And we're
12 also looking at these ingredients, alumina and
13 aluminum hydroxide, at the stage now to issue a
14 final safety assessment.

15 In June, we had issued a tentative
16 conclusion of "safe," so I think we can move on
17 with a final assessment, with a conclusion of
18 "safe."

19 But there was a section on aluminum
20 toxicity added to the report, and so I'd ask Ron,
21 Ron, and tom -- that's page 30 of this -- if there
22 are any significant editorial changes. And then

1 the other is, do you like the section, and is it
2 relevant/

3 DR. SLAGA: I liked the overview. It
4 was a nice summary. And I didn't have any
5 substantial changes.

6 DR. MARKS: Rons?

7 DR. SHANK: I think it's fine.

8 DR. HILL: I thought it was great. I
9 liked that section.

10 DR. MARKS: Okay.

11 DR. HILL: I had a small question about
12 the -- but I think it's just -- it was on the
13 teratogenous -- yes, I think, yes repro and
14 developmental, its teratogenicity, its
15 teratogenicity. There was a statement in there
16 that said aluminum was not detected in whole
17 fetuses, which is impossible, because we have
18 (inaudible) aluminum in our bodies. So I wanted
19 to make sure that I left a comment there, that we
20 were talking about did we not see -- did they use
21 radioactivity, and they didn't see it transferred
22 to the fetuses? Or they didn't see an increase?

1 That was the only question I had.

2 But the statement as its written makes
3 no sense, because we've got plenty of aluminum in
4 our bodies.

5 DR. MARKS: Halyna.

6 DR. BRESLAWEK: Yes -- we liked the
7 toxicity section, as well. The panel had
8 requested it, and we think that a robust
9 discussion of aluminum toxicity is necessary.

10 Our concern is that there is no
11 discussion of the relevance of the data summarized
12 in the toxicity section to the ingredients that
13 are being reviewed here for safe use in cosmetics.
14 And we would like to see that discussion developed
15 and put in the report, and that the report be
16 tabled so that the panel can get a chance to look
17 at the discussion.

18 Our concern is, alumina is a very
19 important ingredient in cosmetics. There are
20 challenges to safe use of alumina. And we, in the
21 industry, we would like to be able to use this
22 report with confidence in the defense of alumina

1 as an ingredient. And, as the report stands, the
2 relevance is missing. And we believe that the
3 discussion in the toxicity section lacks context
4 vis-a-vis what we're reviewing here.

5 DR. HILL: So, I didn't see it that way,
6 because I thought if you read it in the context of
7 what -- the information that was beautifully done
8 in the toxicokinetic section earlier, about the
9 complete lack of absorption, and why you do
10 sometimes see a little bit of absorption with
11 antacid consumption, for example, I thought if you
12 viewed that section in relationship to the
13 toxicokinetic section, there's no such quandary.
14 But I also understand where you're coming from.

15 So, maybe if it's just done in such to
16 make sure that it ties back. Because, again, what
17 I said repeatedly the last time applies, is in any
18 conceivable cosmetic use and exposure, you're not
19 getting any aluminum in any form that would have
20 an deleterious health consequences. And that's,
21 to me, that message came loud and clear, but --

22 DR. BRESLAWEK: Right. I completely

1 agree. As an example, in the overview of aluminum
2 toxicity, the very first, the absorption, probably
3 belongs in the toxicokinetic section. The second,
4 the osteomalacia discussion, what is the relevance
5 of that toxicity to use in cosmetics? Not clear,
6 unless, you know, you've really gotten into the
7 area.

8 And the dialysis encephalopathy, what's
9 the relevance there? That's IV and oral use.

10 Again, no objection to leaving that in

11 --

12 DR. HILL: Just make sure that it's loud
13 and clear what the relevance is.

14 DR. BRESLAWEK: -- but let's -- I mean,
15 the discussion is supposed to be a discussion of
16 it.

17 And the other thing is, you know, the
18 breast cancer, the alumina, aluminum hydroxide as
19 used in cosmetics as active ingredients in
20 antiperspirant products. Well, neither are a
21 bunch of other things.

22 DR. BERGFELD: Are you suggesting that

1 go in the discussion, that clarification?

2 DR. BRESLAWEK: We would like to see
3 this document one more time before it goes, and
4 that the data that are appropriately presented
5 here, on toxicity, are presented in a more
6 contextual, relevant discussion. And we'd like to
7 take a look at it one more time.

8 DR. BOYER: And one of the suggestions
9 that industry had, that I thought was (inaudible)
10 a lot of that aluminum toxicity discussion into
11 the introduction.

12 And we could easily pare it down, make
13 it more brief and more concise, and so forth, and
14 provide a context within an introduction section.
15 And I think that will more or less take it away
16 from the subsequent discussions of alumina and
17 aluminum hydroxide, the actual ingredients that
18 we're looking at.

19 And the other point that industry made
20 is that, in fact, there is a consensus out there
21 that there is no causal link between aluminum
22 exposure and Alzheimer's disease, and so forth.

1 We more or less hedged on that, and we can also
2 make it much more clear that, in fact, there is a
3 consensus on that.

4 DR. HILL: I think you should hedge on
5 that. There's some new -- I've been pretty deep
6 into the Alzheimer's literature for the last
7 couple of years, and I think the things that can
8 be said is, there's some new and pretty suggestive
9 information that there may be some
10 epigenetic-related effects, and that science
11 wasn't there heretofore to even look at.

12 I think when you get into using
13 epidemiology, what that belies is that it's not
14 just one disease, there's at least two major
15 flavors of it. But even you get down into the
16 disease itself, there are -- the genome-wide
17 association studies keep spitting out new genes of
18 relevance. And what that says is that a
19 combination of a particular person's genetics and
20 their environment comes into play in such a way
21 that if you lump all those people together, and
22 try to look for associations, you will

1 automatically wash it out.

2 So I'm not saying there is anything that
3 definitively suggests there is a relationship.

4 And, again, it's totally not relevant to cosmetic
5 use of alumina, but I don't think you can
6 completely discount it. You have said, I think,
7 there's consensus. I think you said it pretty
8 loud and clear. From where I sat, it was just
9 right, in terms of, I think, the science that's
10 known.

11 And I know where Halyna's coming from,
12 but --

13 DR. BRESLAWEK: No, I was wondering if
14 you had any citations, or any studies, that you
15 can present to the panel for consideration on the
16 subject.

17 DR. HILL: I didn't bring that
18 reference, and anybody that referenced it. But
19 it's pretty recent. And again --

20 DR. BRESLAWEK: Could you provide that?
21 Well --

22 DR. HILL: Yes, I can. I'll have to do

1 the search again tonight, because I didn't write
2 it down. But I think -- so, the point is, to
3 completely write it off, because there is new
4 science, based on epidemiology studies would be
5 wrong, because we're lumping together -- it's like
6 when you do clinical studies, if you -- for cancer
7 chemotherapeutic, if you put together people who
8 genetically are unable to respond, then you're
9 going to wash out the response. And it's similar
10 in this case.

11 And I don't think -- again, the point
12 is, from any cosmetic use, by any conceivable
13 route of exposure, you're not getting any aluminum
14 into the system in any form that could do
15 anything, including these epigenetic
16 relationships.

17 You'd have to have a lot of systemic
18 aluminum. And I don't think -- that's the point.
19 And maybe we make the point even more loud and
20 more clear that, by cosmetic use of these
21 ingredients, you're not going to increase the
22 amount of aluminum in anybody's body, and that's

1 it. Problem solved.

2 All is well.

3 DR. MARKS: So Halyna, that last
4 paragraph in the introduction, on page 25, I
5 thought was very clear. It begins with "Note,"
6 and then it points, I think, what you want to
7 express very clearly, why that section's in there,
8 and that there is not-it also talks about the
9 extensive research has failed to support
10 associations with Alzheimer's, breast cancer, or
11 other health issues.

12 So I don't know if you want that
13 restated in the aluminum toxicity section. But,
14 to me, when I read it, it looked pretty clear why
15 that was in, and that last paragraph, I thought
16 summarized it really well.

17 And I guess the editorial comments --
18 I'd ask Rachel about this, but if they're just
19 editorial, rather than tabling it, could they not
20 be sent out, and then we could approve it by
21 e-mail? But I don't know if that's proper.

22 I'm not sure we need to delay it just to

1 see a couple of editorial comments, because we're
2 all on the same page. We're not worried about
3 aluminum toxicity with these two ingredients.

4 DR. BRESLAWEK: The industry would
5 really like a credible, robust report on this,
6 that is clear, and does not further confuse the
7 issue. There are a number of statements in this
8 document -- that are really tough to deal with:
9 "...both amorphous and crystalline alumina data on
10 which forms are used in cosmetics were not
11 available." Okay?

12 "This safety assessment does not address
13 aluminum as a cosmetic ingredient..." -- that's
14 true, but then there's a lot of discussion on
15 that.

16 "FDA requires warning on injections that
17 contain aluminum..." -- in the "Discussion."

18 I mean, it's just there are a lot of
19 loose --

20 DR. MARKS: Sure.

21 DR. BERGFELD: Ends.

22 DR. BRESLAWEK: -- ends. Thank you.

1 You know, it's got to be cohesive, it's got to be
2 a cohesive argument.

3 And especially for something that this
4 confusing, that we really need solid toxicity and
5 summary that needs to be presented. But it's got
6 to be relevant, it's got to be linked up, to
7 somebody who is not as familiar with aluminum
8 salts toxicity as this particular group is.

9 DR. MARKS: So, Tom and Rons, do you
10 like the idea of tabling, see industry
11 suggestions? Obviously, Halyna, you'll be writing
12 Lillian as to "these are suggested changes," and
13 then Lillian and Lillian -- Lillian-squared -- and
14 then we can see the next edition, with the
15 editorial changes.

16 Do you want to table it, as suggested?

17 DR. SHANK: Is it necessary to table it?
18 Aren't these editorial changes?

19 DR. MARKS: That's sort of what I --

20 DR. SLAGA: I think it's editorial. I

21 --

22 DR. HILL: So, I would like to say it

1 tabled, just because, depending on how those
2 editorial changes are made -- well, there's
3 editorial and then there's substantive editorial.
4 I'm sure if we get something that industry is
5 comfortable with, it will still be fine with me.
6 But I'd like to see that.

7 And I just wonder if there's any real
8 downside to table it?

9 DR. MARKS: I don't see any downside.
10 Rachel, your input, how would you, from a
11 consumer's point of view --

12 MS. WEINTRAUB: Yes, well, first I want
13 to say I thought that the addition of the section
14 greatly improved this report. I thought it was in
15 a context. And I thought having this note up
16 front really explained the difference between
17 alumina and aluminum.

18 But if there is a desire to further
19 clarify, I think we should all see what industry's
20 comments are.

21 The question for me is, is it possible
22 to do that in a context that's not tabled? Or is

1 the only way to do that back and forth is if it's
2 tabled?

3 DR. MARKS: I guess it would be if
4 industry could give us the editorial changes
5 tomorrow?

6 DR. GILL: Yes, I think I'd like to ask
7 industry. Because what I hear is the addition of
8 -- granted, the removal of some things that you've
9 said are incorrect. And I think that's editorial.
10 And I hear one or two clarification sentences, and
11 making sure that there's no tie to these
12 ingredients to aluminum.

13 Is there specific language that we could
14 propose by tomorrow to add to this paper, to this
15 report, that would allow it to move forward?

16 DR. BRESLAWEC: When we talked about it
17 with the staff last week, there were pretty
18 substantive changes that we would propose -- and,
19 really, a discussion of, you know, more of the
20 context. I think Ivan understands what our
21 concern is.

22 I'm not sure we can come up with it

1 tomorrow, by tomorrow. That's a question.

2 I mean, we certainly will provide
3 comments in whatever format, whether you table it
4 or not. This is an important ingredient. We
5 would like to have an opportunity to review it,
6 and have the panel review it before it goes final.

7 DR. MARKS: So, what I'm going to
8 propose tomorrow is that it actually -- since you
9 used the word "substantive," I'm going to propose
10 that we table it and see those substantive
11 editorial changes. And then we'll just look at it
12 again in the next meeting.

13 Is that okay, Ron? I know Ron Hill's --
14 Ron Shank, and Tom, is that okay?

15 DR. SHANK: Yes.

16 DR. MARKS: Okay. Thank you, Halyna.
17 So, tomorrow I'll propose, I'll make a motion we
18 table for industry input on editorial changes.
19 Okay. We'll still have a "safe" conclusion.
20 There's no question about that. But we will see
21 these editorial changes. Okay.

22 Thank you, Halyna.

1 DR. MARKS: Next, Achilea. So, in the
2 June meeting we had a draft final amended safety
3 assessment of achilea millefolium -- yarrow -- and
4 derived ingredients, with a "safe as used"
5 conclusion.

6 Rons, Tom, comments?

7 DR. SHANK: I think the report's good as
8 is. A minor little editorial thing --

9 DR. SLAGA: I agree. I think it's fine.

10 DR. MARKS: Halyna, can you comment on
11 this -- or, Lillian Becker -- can you comment on
12 this third paragraph, where it said, "comments
13 from the CIR Science and Support
14 Committee...suggested...safe when formulated to be
15 non- sensitizing..."?

16 We had issued a draft final with "safe."
17 We didn't feel it needed to be included
18 "non-sensitizing," when the concentration is safe.
19 You looked at the sensitization and the
20 irritations study at 0.04 percent, and there was
21 no irritation.

22 Is there a reason why that was added?

1 DR. BRESLAWEK: Yes, we thought that
2 there are known sensitizers present in this
3 particular botanical, and we felt that the change
4 to "formulated to be non- sensitizing" was a safer
5 way to go.

6 Carol --

7 MS. EISENMANN: Well, one of the issues
8 with industry is that each botanical extract can
9 be slightly different. And so they thought that
10 for something like this, where you have a plant
11 that is associated with sensitization, that maybe
12 "safe when formulated to be non- sensitizing"
13 would be a better conclusion, so that, you know,
14 you're saying, industry, beware, this plant is an
15 issue; that some extracts can be made without the
16 sensitizing compounds, but some may have them.
17 That's where they were coming from.

18 DR. HILL: To me, I thought since it's a
19 request coming from industry that basically places
20 a greater burden on industry, why would we not
21 want to do that?

22 And I agree with their rationale, by the

1 way.

2 DR. BERGFELD: We've never done it.

3 DR. MARKS: Yes, that's --

4 DR. BERGFELD: And we've done
5 non-irritating, but not non-sensitive.

6 DR. BRESLAWEK: Oh, no, I think we have.
7 CAPB, right?

8 DR. HILL: We sure did.

9 DR. BERGFELD: Did we do it once?

10 DR. BRESLAWEK: Yes.

11 DR. BERGFELD: But not very often.

12 DR. HILL: Not often.

13 DR. MARKS: So, cocamidopropyl betaine,
14 because of the contaminants in it, we had that
15 "safe when formulated to be non-sensitizing."

16 No, I liked the rationale. Was that
17 capture in the discussion in this? Because that
18 caught me a little bit by surprise.

19 And the other question, from a
20 procedural point of view, does this need to go
21 back out in comment? Does this change the
22 conclusion significantly that we perhaps need to

1 issue another tentative amended report, with this
2 change and conclusion?

3 I think, since the 0.04 percent was
4 safe, and that's the use, that perhaps it's not a
5 drastic change in the conclusion. But I'm open
6 for discussion.

7 So, Ron, Ron, and Tom? I heard, Ron
8 Hill, you like the more restrictive, "when
9 formulated to be non- sensitizing."

10 Now, is this going to be something you
11 think is going to set as a precedent in all
12 botanicals? Because we face this -- this is not
13 the first, this may be the beginning of this is
14 going to be the conclusion of all botanicals.

15 MS. EISENMANN: I don't know about all
16 botanicals, but maybe asteraceae botanicals, for
17 which you know -- I mean, they are known to have
18 sensitizers, which there are others in this group
19 today. But -- just a thought.

20 DR. SHANK: This ingredient has been
21 tested for sensitization, and we have a level of
22 use that is not sensitizing, enough to make the

1 argument, well maybe if the manufacturer is
2 different, than it could be, then we're in
3 trouble.

4 DR. MARKS: I agree with you, because
5 then you say, if it's different, how about the
6 other potential ingredients? Would they now rise
7 to a level?

8 I mean, should you put "when formulated
9 to be non- toxic?"

10 DR. SHANK: No, please.

11 DR. MARKS: I know -- but that's the
12 extreme.

13 DR. HILL: But the point is, but the
14 sensitizers act at -- can act at pretty low
15 concentration. We're talking about a botanical
16 here, where -- botanicals always vary in terms of
17 -- unless you propose to make them measure the
18 concentrations of those sensitizers, which we're
19 not proposing, in each of these.

20 And so, I think -- I mean, they're
21 coming from the industry perspective, and saying
22 this might be a reasonable thing to do in this

1 case, based on the known presence of sensitizers,
2 and the fact that botanical extracts do vary,
3 sometimes widely, in terms of content, based on
4 source, growing season, phase of the moon -- who
5 knows what? I'm not even being that facetious
6 when I say "phase of the moon."

7 So, I mean, I'm assuming the Belsito
8 team will have some thoughts on this one.

9 DR. MARKS: Well, Don will be making a
10 motion, but we better have our act together, too.

11 DR. HILL: You just heard what I think
12 about it.

13 DR. MARKS: Yes, you like it. Ron
14 Shank's a little bit on the other side of it,
15 that we -- "safe." Tom, what do you feel?

16 DR. SLAGA: I'm with Ron Shank. I think
17 it's safe the way it is. I mean, we definitely
18 have concentrations that we can deal with, and
19 we're below that (inaudible).

20 DR. MARKS: Then you would have language
21 in the discussion, to be sure that this issue is
22 well raised.

1 DR. SLAGA: Right.

2 DR. GILL: I was going to suggest that
3 the language in the discussion captures what we've
4 talked about here, and the conclusion as is.

5 DR. HILL: I would be okay with that,
6 actually.

7 DR. MARKS: I like that, also.

8 DR. SHANK: Language is already in the
9 discussion.

10 DR. HILL: I think it is.

11 DR. SHANK: About the sensitizer of
12 these --

13 DR. MARKS: Is that page 26, 27? Which
14 page are you on?

15 DR. SHANK: 27.

16 DR. MARKS: Yeah, okay.

17 DR. SHANK: The first complete
18 paragraph, "The panel noted that among the
19 constituents..." -- that's all about the
20 sensitizers.

21 DR. MARKS: Right.

22 MS. EISENMANN: One thing to know about

1 the "constituent" paragraph, thujone is discussed.
2 And in the analysis of the aqueous extract -- they
3 did an analysis, and thujone was one of the
4 materials they actually used. And they did not
5 detect it a level of 300 ppm. I was thinking that
6 that that needs to be changed to "constituents of
7 concern in the plant," which may or may not be in
8 the extract. If you still want to discuss thujone
9 you can, but in the aqueous extract, which is the
10 one that was most, there's the most data on in the
11 report, there was no thujone at a level of 300 ppm
12 -- which isn't a sensitizer issue, but it's in
13 that paragraph.

14 DR. MARKS: So, Ron Shank, you're --
15 which paragraph was that again? You said 27 --

16 DR. HILL: It's the first full paragraph
17 on that page, I think.

18 DR. SHANK: Top of the page.

19 DR. MARKS: Under "Discussion?"

20 DR. SHANK: Yes.

21 DR. HILL: Yes.

22 DR. MARKS: Yes, okay.

1 DR. SHANK: It starts, "The panel noted
2 that among the constituents of these botanical
3 ingredients..."

4 DR. MARKS: Oh, yes. Okay. Mm-hmm.

5 MS. EISENMANN: So, it shouldn't be "of
6 these botanical ingredients," "of these plants."
7 Because those are the constituents of the plants.
8 I don't think, if you look back at the analytical
9 work, those were actually in the ingredients. And
10 I know, especially, thujone was not at a level of
11 300 ppm.

12 DR. SHANK: Yes, that's a good change.

13 DR. MARKS: Okay. Any other comments?
14 So, tomorrow I'm going to move as "safe." And
15 we've captured the non-sensitizing concern in the
16 discussion, and it will be handled there.

17 DR. HILL: One follow-up comment,
18 though, to what she just said is that I don't
19 think we have -- one of the ingredients that's
20 included here is the achillea millefolium
21 flower/leaf/stem extract. And I don't think we
22 have full data on contents of that. So that's --

1 that's why I think that we -- but I do agree that
2 something needs to be changed to better reflect
3 the situation.

4 DR. MARKS: Lillian? Do you have that?
5 Captured that?

6 MS. BECKER: Yes, I have it. Thanks.

7 DR. MARKS: Okay. So, presumably, I'll
8 move a "safe," second a "safe" conclusion
9 tomorrow. And, if there's discussion about
10 changing the conclusion to "formulated to be
11 non-sensitizing, I'll present our team feels
12 that's been captured in the discussion. And I
13 won't even get into the issue of whether this
14 needs to be sent out again, whether it's a
15 significantly different conclusion. Okay.

16 Any other comments? Next are hair dyes.
17 So, we have in front of us the draft final report
18 of hydroxypropyl bis -- da, da, da, da --
19 hydrochloride as used in cosmetics.

20 The conclusion was "safe." And are
21 there any changes in that conclusion, Rons, or
22 Tom? And are there any editorial comments?

1 DR. SHANK: Again, the report is fine as
2 is.

3 DR. SLAGA: I agree -- fine as is.

4 DR. MARKS: Great. And then we'll --
5 presumably, I'll be seconding a motion that this
6 is safe. And it will be a final report.

7 DR. HILL: I do have something that
8 needs to be looked into.

9 Under the "Impurities" section -- right?
10 -- there was a reported range of 94.6 percent to
11 99.8 percent. And I grant you, built into that
12 are analytical uncertainties.

13 But then they list three impurities that
14 are below detection limits. And on the flip side,
15 if you've got something that's 94.6 percent, then
16 there's 5 percent sort of missing. So that just
17 leaped out at me -- probably because that's one of
18 the sections I focus on more than some other
19 sections.

20 And I think we probably could get
21 information as to what's up with that.

22 But, I mean, if it's inorganic

1 impurities, sodium chloride, or something like
2 that, it probably doesn't matter. But that was
3 something I thought needed to be addressed as the
4 thing was finalized.

5 The other question I had, just tossing
6 that out there, is we're removing any percentage
7 cap, right? But everything's based on .28 percent
8 maximum use (inaudible). All is well with that?
9 When we're saying "art of use," what we're
10 effectively doing is setting a cap at .28 percent?

11 DR. MARKS: And, remember, this actually
12 is exempted by the Coal Tar Directive --

13 DR. HILL: I know that.

14 DR. MARKS: -- is that not right? That
15 there is supposed to be pretesting?

16 DR. HILL: Yes, I know that. I guess
17 what I'm asking is, are we effectively lowering
18 the -- because it was 1 percent, right?

19 DR. MARKS: Yes.

20 DR. HILL: Are we effectively lowering
21 it by this to .28?

22 DR. MARKS: Mm-hmm.

1 DR. HILL: Okay. This was not anything
2 to do with the report.

3 DR. MARKS: Right.

4 DR. HILL: Just so that I know what's
5 going on.

6 DR. MARKS: Any other comments?

7 MS. BECKER: Dr. Hill, could you
8 re-explain what you meant with the impurities?

9 DR. HILL: Yes.

10 MS. BECKER: I'm not sure what you
11 meant.

12 DR. HILL: Yes. It gives a range of
13 impurity of 94.6 percent to 99.8 percent. So, if
14 it's 94.6 percent that's the result, that suggests
15 there's 5 percent impurities in there, 5.4 percent
16 -- all right?

17 So -- but we've only got three things
18 listed, and they're all below the detection
19 limits, and that's not the complete list of things
20 that are potentially there and of concern. So,
21 for example, we're not capturing nitro-
22 substituted compounds in all in that list of three

1 things.

2 MS. BECKER: Okay.

3 DR. HILL: So the question I'm asking
4 is, because in the last meeting we had the
5 discussion of why do we have, in some cases,
6 bluish-white, or grayish-white, or what -- and I
7 was giving some sense of there are things in there
8 that can generate that color. It's typically some
9 polymers, like that.

10 So the question is, what's accounting
11 for the other 5 percent, since we were listing
12 three things, and it's all below the detection
13 limits.

14 And so it's, again, sort of -- I get the
15 sense that the -- how do I put this? -- the high
16 caliber, conscientious producers are probably
17 producing something closer to 99.5-plus percent.
18 But if we had a raw ingredient that's 94.6, what
19 else is in there?

20 MS. BECKER: Okay.

21 DR. HILL: And I --

22 MS. BECKER: This was data provided by

1 industry.

2 DR. HILL: I know that.

3 MS. BECKER: If they've got any --

4 DR. HILL: I'm really, I'm looking at
5 you, but I'm talking to them.

6 DR. BRESLAWEK: This is actually data
7 that was provided by SCCS.

8 DR. HILL: Okay.

9 DR. BRESLAWEK: It was in their opinion.
10 So --

11 DR. HILL: Okay.

12 MS. EISENMANN: And one thing to note
13 about the color, references listed in the table
14 are, like, tox studies. So it's, you know, it's
15 like providing a sample to a lab, and they look at
16 it and tell you what color. The opinion calls it
17 "ivory." So I suspect it's a little
18 interpretation of that it's similar material, but
19 it's whoever is looking at is saying it a little
20 bit, slightly different color.

21 DR. HILL: So, I've done a lot of
22 catalytic reductions of nitro compounds in my

1 career, which -- this is at least part of that
2 manufacturer, and depending on -- because one of
3 the things that those do is tend to poison
4 catalysts. And so, depending how well those
5 reductions are done, and how completely, and all
6 that, there can be both impurities that are
7 precursors, as well as some polymeric materials
8 generated. So that's what the question I had is.

9 And I guess what I'm picking up on is,
10 if somebody's supplying material that's 94.6
11 percent with crud in there, it doesn't need to
12 make its way into consumer products. Is enough
13 attention being paid to that? That's what I'm
14 driving out.

15 You all can figure out what to do with
16 that.

17 DR. MARKS: Okay. Any other comments?
18 So, "safe" for the hair dyes. And then let's move
19 on to phytosterols. And this is the first time
20 we've seen this report. There are 27 ingredients.
21 So, not only Rons and Tom, any needs you have, but
22 also are the 27 ingredients -- I'm going to refer

1 to page 9 -- do they look good?

2 And then we have a Wave 2 on these
3 ingredients, which one particular is Stolesterol
4 -- that's a brand name, is it?

5 Lillian, there was manufacturing
6 impurities and chemical and physical properties
7 that were -- with that -- which this initial
8 report, I don't think, had those details in.

9 So, Rons, Tom, shall we start with
10 "needs," or start with -- why don't we do it with
11 ingredients? I'm going to look at page 9.

12 Do any of these 27 phytosterols and
13 sterol alkanoates not belong in this group -- for
14 toxicologic reasons, chemical reasons, et cetera?

15 And, of course, we can't use the
16 no-brainer as an escape. This is the first
17 report.

18 DR. HILL: I tried to come up with a
19 good enough and convincing rationale for doing
20 these one at a time, but I failed. And I knew
21 nobody would be receptive.

22 DR. MARKS: So, Tom, Ron?

1 DR. SLAGA: I think all the ingredients
2 look fine to me.

3 DR. MARKS: Ron Shank?

4 DR. SHANK: Yes. There's all
5 (inaudible).

6 DR. MARKS: Okay, good. So, all the
7 ingredients look good.

8 Let's go back to, then, what are the
9 needs?

10 DR. SHANK: I had "safe as used."

11 DR. MARKS: Okay. So let me go to --
12 that's what I had, but -- Ron Hill? Tom?

13 DR. HILL: I'm getting there.

14 DR. MARKS: So --

15 DR. HILL: A couple of things that raise
16 questions, so hang on.

17 DR. MARKS: Yep. So, this would be
18 issuing a tentative report. Actually, the skin
19 irritation and sensitivity was okay up to 100
20 percent, so -- you can't get much better than
21 that.

22 DR. SLAGA: I had "safe."

1 DR. MARKS: "Safe." Ron Hill?

2 DR. HILL: The kind of issue that I want
3 to see better looked at going forward -- because
4 this might not be the last report in this category
5 we see -- if you look at Table 6, which is on page
6 21 of the report, it's got "Total phytosterols,"
7 "Major phytosterols," "Beta-sitosterol," and then
8 it's got a number like 49.1 --

9 DR. MARKS: It's what page?

10 DR. HILL: Yes -- page 21 of the PDF.

11 DR. MARKS: Oh, 21. Okay, no wonder --

12 DR. HILL: I may have said 27, so I
13 apologize if I did.

14 DR. MARKS: 21. Okay. Yep. So, Table
15 6, you said?

16 DR. HILL: Table 6, which is short.

17 DR. MARKS: Yes.

18 DR. HILL: So you see a list of
19 components --

20 DR. MARKS: Mm-hmm.

21 DR. HILL: -- and also a compilation.

22 And you see a very exact number, like "49.1."

1 All right. So, first of all, it's not
2 even giving any level of uncertainty for the
3 analytical chemistry. I don't have a huge issue
4 with that, but the fact that you have one number,
5 whereas we know these things are going to show up
6 in the plants in some range, suggests that this
7 just the result of analyzing one particular lot
8 from one particular source material, and doesn't
9 really convey a picture of what the variation is
10 likely to be if we get that ingredient from
11 multiple vendors.

12 And that relates to -- okay, grant you,
13 we don't have any big toxicology issues that jump
14 out at me with these, but that relates to what
15 material is being studied, when we get some
16 toxicology data, how well do we really know and
17 understand it if all we're seeing is the result of
18 one lot, from one supplier, which, clearly, these
19 numbers suggest to me is, in fact, the case.

20 So that was one. And there was
21 something related -- as soon as I find it --

22 DR. MARKS: So, there are two

1 references, 2 and 5 in there.

2 DR. HILL: Yes, I looked at those.

3 DR. MARKS: Okay.

4 DR. HILL: You can look at those and see
5 exactly what those are. I wrote a comment there
6 that just said just use the original reference,
7 don't reference a report that references the
8 reference. Skip -- just reference the original
9 data, so that people know that we have looked at
10 that original data, and not somebody else's
11 distillation of that data.

12 DR. MARKS: So, Ron Shank, any -- I hear
13 what you're saying, Ron Hill. Does that create
14 any concern from your perspective, Ron Shank?
15 Those comments? Or Tom?

16 DR. SHANK: It didn't bother me, no.

17 DR. MARKS: I mean, it gives you a
18 number which at least puts you in a ballpark of
19 where one -- there's certainly going to be -- I
20 agree with you, Ron Hill, there will be variation
21 depending on the source of the botanical, but it's
22 probably not going to be log changes.

1 DR. HILL: Well, we don't know.

2 DR. MARKS: Yes, I hear you.

3 DR. HILL: I mean, some components, in
4 some particular extracts or ingredients could be
5 20 to 70, and this one happens to be 49.1. So if
6 we don't get a sense of what that variation is --
7 which, at least, I think it was one of the other
8 reports we had, we got a very nice and very clear
9 sense of that. And that was a beautifully painted
10 picture, and when we get to it, I'll point it out.

11 DR. MARKS: Okay. Okay. So you would
12 like to see a range.

13 DR. SHANK: We always see in our reports
14 "based on the data" --

15 DR. MARKS: Right.

16 DR. SHANK: -- "our conclusion is based
17 on the data in this report." So if someone has an
18 ingredient that is far afield from what is
19 characterized in our report, then it doesn't
20 comply to our analysis.

21 DR. MARKS: Thank you, Ron -- Ron Shank.
22 Okay. Any other comments, Ron Hill? Lillian -- I

1 mean, Halyna, sorry.

2 DR. BRESLAWEC: I would draw your
3 attention to the search strategy. I think what
4 was searched was "phytosterols," for 35 possible
5 hits, of which 15 were useful.

6 It would probably be useful to search
7 for diosgenin and beta-sitosterol acetate, to look
8 for toxicological data on those. I think if you
9 just search for phytosterols, I'm not sure if
10 you're getting everything.

11 DR. MARKS: Lillian.

12 MS. BECKER: When I "phytosterols," a
13 lot of the stuff that came up was on those two
14 ingredients, and just those two ingredients.

15 DR. BRESLAWEC: Did you search for
16 diosgenin?

17 MS. BECKER: No, I didn't search for it,
18 because it just came -- that was most of the stuff
19 I got from my search on just phytosterols. It was
20 diosgenin and the other one.

21 DR. BRESLAWEC: It would seem that if,
22 you know, you're looking to evaluate specific

1 ingredients, such as diosgenin and sitosterol
2 acetate, you'd want to search for those terms, as
3 well.

4 DR. MARKS: What I -- Halyna, thank you
5 for that suggestion. We'll be issuing a tentative
6 report, so I think there's plenty of time to go
7 back, search on that specific ingredient, or
8 component, I guess. And then if there's anything
9 different -- it sounds like, from what you found,
10 Lillian, it probably covered everything. But I
11 would suggest going back, as Halyna recommended,
12 and then see what comes out, and you'll be able to
13 give that on the next edition of this, if there
14 are any changes.

15 MS. BECKER: Okay.

16 DR. HILL: And just a chemistry-related
17 comment to give some attention to, and I made a
18 note here -- is any of these phytosterols can be
19 found as esters in any given plant, and might be
20 extracted that way. Any of them are likely to be
21 found as various and sundry glycosides in any
22 given plant, and may be extracted that way -- and

1 we made sure that whatever's written here
2 adequately reflects that.

3 DR. MARKS: Okay. So -- any other
4 comments?

5 MS. BECKER: Did you put some language
6 in for that?

7 DR. HILL: I put something in there.

8 MS. BECKER: Okay, great. Thanks.

9 DR. MARKS: So, tomorrow I will move
10 that we issue a tentative report, with a "safe"
11 conclusion.

12 DR. SHANK: Okay, I had just a question
13 on the use of the term "saponification." Dr.
14 Hill, is that really -- the alkaline hydrolysis of
15 these sterols is a saponification?

16 DR. HILL: I --

17 DR. SHANK: I thought that
18 saponification was an attack on a carboxyl carbon.
19 Am I just way old? My elementary chemistry?

20 DR. SLAGA: No, that's what I thought,
21 too.

22 DR. SHANK: Okay. If it actually mean

1 --

2 DR. HILL: Well, if you're hydrolyzing
3 off esters, then that's exactly what you're doing.

4 DR. SHANK: But isn't the mechanism of
5 saponification fairly specific?

6 DR. HILL: Yes -- alkaline hydrolysis.

7 DR. SHANK: No --

8 DR. HILL: I mean, typically, you use --

9 DR. SHANK: -- where the attack is on
10 the carbonyl carbon?

11 DR. HILL: Well, it would be if you're
12 hydrolyzing esters. That's the only way you can
13 hydrolyze off an ester.

14 Well, it's not the only way. You could
15 do it in acid, but --

16 DR. SHANK: So all of these are not
17 esters, are they?

18 DR. HILL: The question is, whether that
19 term is chemically appropriate if you're doing
20 glycosides. And I have to research that.

21 DR. SHANK: Okay. It was more for my
22 edification.

1 DR. HILL: But, I think it -- yeah, I
2 think it just generally refers to alkaline
3 hydrolysis. And usually that's done in sodium
4 hydroxide.

5 DR. SHANK: Right. Okay. The other
6 point was, in 2004 we reviewed wild yam, and
7 phytosterol was looked at very carefully, because
8 there was a question about estrogenic activity.
9 And it might be helpful to throw in just a
10 reference to our CIR report of 2004 on wild yam
11 extracts. Because we went fairly deep into the
12 analysis of did any of these sterols have
13 estrogenic activity.

14 MS. BECKER: I do mention that in the
15 introduction.

16 DR. SHANK: Oh, sorry.

17 MS. BECKER: Do you want that expanded
18 on?

19 DR. SHANK: Okay.

20 MS. BECKER: It's the next to the last
21 paragraph of the introduction.

22 DR. MARKS: What page is that, Lillian?

1 MS. BECKER: I'm sorry -- 9.

2 MS. EISENMANN: But that report did
3 conclude a uterotrophic assay of a specific
4 extract that had a known amount of diosgenin.
5 That might be helpful.

6 DR. SHANK: And also, the structure in
7 Table -- the diosgenin needs a double-bond. Where
8 is that? It's a table someplace.

9 DR. HILL: Yes, I missed that. I think
10 I missed that.

11 DR. SHANK: Table 1, page 19, between
12 C-5 and C-6, that should be a double-bond.

13 DR. HILL: Yep.

14 DR. MARKS: Ron, was the estrogenic
15 effect -- in the introduction, was that
16 satisfactory, the way Lillian had it.

17 DR. SHANK: I'm trying to find it.

18 DR. MARKS: Yes, which paragraph is
19 that, under the introduction?

20 MS. BECKER: It as the next to the last
21 paragraph of the introduction.

22 DR. MARKS: "...were safe as used." So

1 that's the "safe," but it doesn't specifically --
2 did you want to be more specific about the
3 estrogenic effect, there, Ron? Add another
4 sentence or two in that paragraph?

5 DR. SHANK: No, I guess that's fine. I
6 missed that. Thank you.

7 MS. BECKER: Thank you.

8 DR. MARKS: Okay. Any other comments?
9 So, if not, then tomorrow I will be moving that
10 these ingredients are safe, and a tentative report
11 be issued. Okay.

12 Next is the botanical boilerplate.
13 That's on the administrative section. And on page
14 33 of that -- so, you'll notice on that, the
15 "Admin" cover, or title page, it's number 3,
16 "Botanicals." So let's go to page 33.

17 Rons and Tom, how did you like these?
18 And I'll say the same for anybody else who has any
19 comments.

20 DR. SLAGA: I had -- I read it, and
21 really -- it was hard to make any conclusions from
22 what I read, because it was too hypothetical. And

1 it, really, it's going to be a function of each
2 thing we looked at, how you define that summary.
3 And I couldn't get that in here.

4 DR. MARKS: You give a lot of specific
5 examples as we go down.

6 Rons, what did you feel? I think,
7 because of the complexity, you have different
8 sections you're addressing, it's really quite a
9 thorough boilerplate.

10 MS. BECKER: The idea is that it's not a
11 boilerplate boilerplate, but a guiding framework,
12 because a lot of it is still written dependent on
13 the data we have, and what we know. And
14 basically, it's examples and guidance, and where
15 to go from there.

16 DR. BERGFELD: Isn't that similar to the
17 inhalation boilerplate? There are several
18 selections you can make?

19 MS. BECKER: Yes.

20 DR. HILL: If what we're working for is
21 strictly an internal document that can b e used by
22 staff and by us when we need to reference it to

1 remind ourselves of some things, then it can
2 continue to be a work in progress as we review
3 botanicals, of which we have several on the plate
4 this meeting.

5 And then I see there are some comments
6 here from the Science and Support Committee, as
7 well, too.

8 I guess the question is, will at some
9 point something be released to provide guidance to
10 industry, which would probably be something
11 different than this? I guess there are, you know,
12 two purposes: One is for staff and us, and one is
13 -- if you're proposing to provide something on the
14 website that would provide guidance -- and I don't
15 know that you are.

16 I guess I'm not sure that one document
17 should serve both purposes, because I think, in
18 this case, unlike, maybe, inhalation, this may be
19 more of a living, breathing, document that keeps
20 being updated at we look at specific ingredients.
21 And I'm not suggesting it would get longer, but
22 that it may be refined in some sections. I don't

1 know.

2 DR. BOYER: And I think that's the way
3 we've been looking at it so far -- basically to
4 provide the writers with some guidance, some place
5 to start with when they're incorporating this kind
6 of language into their reports, and also for the
7 panelists to take a look at if there's any
8 question.

9 The bigger issue is to what guidance or
10 what recommendations we might provide to industry
11 for botanicals, just in general, dealing with
12 botanicals, the kind of data that we'd like to get
13 from them, and so on. That's also under
14 discussion among the staff. So we're looking at
15 that (inaudible).

16 DR. HILL: In that case, I assume, with
17 the SSC, and anybody else who's interested in
18 making sure that, you know, that they get -- if
19 something like that emerges, that they get
20 something useful.

21 DR. SLAGA: Well, one of the main points
22 we discussed was to make sure that we understood

1 that multiple botanical ingredients can be in one
2 formulation, and that we should deal with that,
3 and how that will even also relate to stuff in the
4 food that may, from a botanical, that we're
5 looking at the total amount, so that you know, we
6 don't fine something that's going to come up and
7 bite us later.

8 DR. MARKS: So, I think that was
9 captured really well in the abstract.

10 DR. SLAGA: Yes, that part was.

11 DR. MARKS: The abstract really very
12 clearly states that concern. And then, of course,
13 the guidance for discussion is a very -- you know,
14 this specific example, that one, so on and so
15 forth, how you handle it in the discussion and
16 such.

17 Heavy metal -- this comes up because I
18 got the article on lipstick sent to me by a local
19 ABC news reporter -- what are the heavy metals?

20 Now, we addressed three heavy metals.
21 Is that the only heavy metals in the boilerplate,
22 lead -- I forget --

1 DR. BERGFELD: Arsenic.

2 DR. MARKS: -- arsenic, and -- there was
3 one other, I thought. Is that the definition of
4 what "heavy metals" are?

5 DR. BRESLAWEK: Mercury.

6 DR. MARKS: Yes. So, do we need to be
7 more specific, in terms of -- or is that the only
8 three heavy metals? Is that a definition of what
9 a heavy metal is, those three?

10 SPEAKER: No.

11 DR. MARKS: No -- that's what I kind of
12 thought. But, just as a -- I know it's not --
13 well, it is relevant to the plan boilerplate, but
14 it kind of, when I looked back, I said, okay, what
15 are the heavy metals that we're dealing with? And
16 there are three. So is that --

17 DR. BOYER: And those are the three
18 major ones, when you talk about environmental
19 exposures. Cadmium is also sometimes discussed,
20 although I think rarely in the context of personal
21 care products.

22 DR. MARKS: In that article, cadmium was

1 mentioned.

2 DR. BOYER: It was mentioned?

3 DR. MARKS: Yes, that's why I started,
4 "Okay, which of these metals are really the heavy
5 metals?" And when you look at the boilerplate,
6 there are just three that we address.

7 So, that opens up a whole different sort
8 of discussion, but I don't know whether the heavy
9 metal boilerplate, do we want to be -- it's
10 certainly in the body of what it is, those three
11 heavy metals.

12 DR. HILL: Well, at some point, it may
13 be necessary to actually put together a document
14 that addresses that. Because, I mean, we can in
15 things like chromium, well, then that's highly
16 dependent on oxidation state. But then there are
17 possibilities of microbial transformation.

18 Up until recently, I would have
19 completely written off copper from that list, but
20 now there's a literature emerging for copper in
21 neurodegenerative disorders. So I don't think
22 that's much related to cosmetic ingredients --

1 although --

2 DR. MARKS: So --

3 DR. HILL: So the point is, at some
4 point we may need to, somebody may -- well,
5 somebody's bound to be reviewing. Maybe we just
6 need a list of reviews, recent, that have
7 addressed this nicely, and thoroughly, in the
8 light of current science, or something like that.

9 DR. MARKS: So, Ivan, just -- so you're
10 aware of it?

11 DR. BOYER: Yes.

12 DR. MARKS: Let's get back. That was a
13 sort of a diversion from this botanical
14 boilerplate.

15 So, I didn't hear any -- Tom, other than
16 your concern that it was somewhat, perhaps, vague.
17 I think the abstract really synthesizes what the
18 concern is.

19 DR. SLAGA: Yes, that's the --

20 DR. MARKS: And then the rest of it is,
21 as you have here, "guidance." And is, as you said
22 it, the guidance really becomes very specific to

1 the botanical, and what's the constituent one's
2 concerned about.

3 DR. SHANK: It depends. If this is a
4 boilerplate, that's entirely different than if
5 it's a framework guide.

6 DR. MARKS: Okay.

7 DR. SHANK: Okay? So, I read it as more
8 a guide to us: When we handle botanicals, make
9 sure we get these issues considered, and
10 whatever's relevant, put into a report; that this
11 would not be a cut-and-paste into every report.

12 DR. MARKS: Okay. I think as long as --
13 then we could change the title from "boilerplate,"
14 so the semantics are not confused, into
15 "guidance." Although I think the abstract's going
16 to be pretty much a cut-and-paste.

17 Any other comments? Halyna.

18 DR. BRESLAWEC: Yes, I agree with
19 everything you all have said about "guidance"
20 versus "boilerplate." We have some suggested
21 modifications on the abstract -- "Because
22 formulations may contain more than one botanical

1 ingredient, caution was urged to avoid reaching
2 levels of toxicity for constituents." And what
3 this does is try to make clear whether you're
4 talking about botanicals themselves, and then the
5 constituents in there.

6 And then "Industry should use good
7 manufacturing practice to limit impurities."

8 But in terms of the discussion, I think
9 what you did is you proposed a boilerplate, kind
10 of a suggestion for how the discussion should
11 handle impurities. And we think that there are
12 three elements there. The first would be a
13 statement of what the issue is -- you know,
14 multiple sources of constituents from botanicals.

15 The second would be a discussion of the
16 specific cases in that, or the specific
17 circumstance, in that particular report.

18 And the third thing that you'd want to
19 hit is: Was a level set. And if so, how? Did
20 you use a TTC approach, or a different approach?

21 So those would be the three elements
22 that I think would be useful, we think it would be

1 useful, to see in a discussion where this issue
2 comes up.

3 DR. MARKS: So, there will be -- Rachel.
4 Thank you.

5 MS. WEINTRAUB: I had some edits. So, I
6 think some of the language could be edited a bit
7 further, throughout.

8 DR. MARKS: Mm-hmm.

9 MS. WEINTRAUB: So, I'll share my edits
10 with you about the particular sentences I'm
11 talking about. But I think it could use another
12 edit to make some of the language more concise.
13 Some of it is a bit repetitive.

14 So, a broader edit for conciseness, I
15 think, would be a good step.

16 DR. MARKS: So, my sense is that we're
17 going to change the semantics to "this is a
18 guiding document." And there's a number of
19 editorial comments. And I think probably we
20 should look at this again, in the future.

21 Rons, Tom, does that sound -- We'll see
22 what the Belsito team suggests tomorrow. But, so

1 -- okay. So this is going to be a guiding
2 document, and see it again.

3 MS. BECKER: You'll notice that the
4 title of the document is "Botanical Abstract
5 Discussion Framework," and not "boilerplate."

6 DR. MARKS: Yes.

7 MS. BECKER: "Boilerplate" is just a
8 shorthand for the itinerary.

9 DR. MARKS: Yes. Exactly. Well,
10 actually, in Lillian and your memo, it says "draft
11 boilerplate." So it will be "draft guidance for
12 botanical ingredients" in the next memo.

13 "Guiding document," see again with
14 editorial -- it will be interesting tomorrow to
15 see the Belsito's team comments, because it was
16 Don Belsito who actually wanted to see this sort
17 of consideration addressed. Okay.

18 So, we'd like to -- and we could see it
19 again as a formal agenda item, which I think is
20 best, rather than seeing it again when we get a
21 botanical in the future, and then kind of go back.
22 I think it's worthwhile just looking at the

1 guiding document, as I'll refer to it, as an
2 independent agenda item.

3 Any other comments? Okay, if not -- the
4 next is the PEG and PPG ethers. And this is a
5 final report. So, there are 131 ingredients.
6 This is the final report. And our conclusion from
7 the June meeting, in which a draft final was
8 issued, was that these ingredients are safe when
9 formulated to be non-irritating.

10 Any comments? Ron, Ron, and Tom?

11 DR. SLAGA: Very nice report.

12 DR. MARKS: Welcome, Monice.

13 MS. FIUME: Thank you.

14 DR. MARKS: Lillian was getting tired,
15 so she moved on. Okay.

16 Now, where is this? Any other comments?
17 "Safe." Very nice report.

18 Doesn't sound like there's any comments
19 at all. So, if that's the case, I presume
20 tomorrow I'll be seconding a "safe when formulated
21 to be non-irritating," and we'll dispense with the
22 alkyl PEG, and PPG, propylene glycol ethers.

1 Okay. That was easy, wasn't it, Monice?

2 Okay, next one is the sulfosuccinates.

3 And this is a final amended report. This, again,

4 "safe...to be non- irritating." Let me pull that

5 up.

6 There are eight ingredients. This,

7 again, we have an amended safety assessment. And

8 the conclusion is "safe when formulated to be

9 non-irritating." And we would be at the stage of

10 issuing a final amended safety assessment.

11 Any comments?

12 DR. HILL: No, I don't think so. But,

13 just a second.

14 DR. SHANK: Looks good as is.

15 DR. MARKS: Okay. Monice --

16 DR. HILL: Just --

17 DR. MARKS: "Safe when formulated to be

18 non- irritating." So, let me find that.

19 DR. HILL: This is really a dictionary
20 thing, and not a -- is that that name as written,

21 for "diethylhexyl," it should probably be

22 "di(ethylhexyl)," because otherwise it could be

1 diethylhexyl. It's really a poorly selected
2 dictionary name -- FYI.

3 MS. EISENMANN: It used to be "dioctyl,"
4 so I think they've improved on that one.

5 DR. HILL: Maybe. Maybe. It just
6 jumped to me, because it was really the lead
7 ingredient for this when we reopened it.

8 DR. MARKS: Okay. Next are the
9 rosemary-derived ingredients, rosmarinus
10 officinalis. And this is the first review of these
11 12 ingredients -- they're GRAS.

12 So, Rons and Tom, I guess, let's first
13 -- shall we look at the ingredients? Are they all
14 okay?

15 DR. SHANK: Well, I have here to remove
16 rosmarinic acid.

17 DR. MARKS: Yes, that's the question
18 that counsel -- if we look at Monice's memo, in
19 the second paragraph, the counsel asked for
20 explanations as to why rosmarinic acid is
21 included.

22 DR. SHANK: It's a component of the

1 plant, but not of the cosmetic ingredient
2 extracts. So I think that can be deleted.

3 MS. FIUME: Dr. Shank, it is a cosmetic
4 ingredient --

5 DR. SHANK: Oh --

6 MS. FIUME: -- in and of itself.

7 DR. SHANK: By itself.

8 MS. FIUME: And it is also a component.
9 So, in the past, corn acid, coconut acid, we have,
10 there has been precedent for including the acid.
11 But I do want to see what you think, if it fits
12 into this family.

13 DR. MARKS: So, as you mentioned,
14 Monice, there's also --

15 DR. SHANK: So, the other acids, we
16 include with the extracts? Or the other acids
17 were reviewed separately, that you're talking
18 about?

19 MS. FIUME: Most of them were included
20 with the extracts or the oils. Whatever that
21 family was --

22 DR. SHANK: Was.

1 MS. FIUME: Whatever the corn report
2 was, it did have corn acid in it.

3 DR. SHANK: Oh, in the extract report.

4 MS. FIUME: Let me check coconut acid.

5 MS. EISENMANN: But that acid is for the
6 fatty acids from corn oil. That's not like --
7 rosmarinic acid is a -- I don't what the -- I
8 think it's a triterpene?

9 DR. MARKS: Yes.

10 MS. EISENMANN: So, if it's a -- I think
11 it's a little bit, it's not --

12 DR. SHANK: So when you say "corn acid,"
13 you mean "corn fatty acids."

14 MS. EISENMANN: That's what they are,
15 yes.

16 DR. SHANK: Okay. That's different.

17 DR. MARKS: So the counsel (inaudible)
18 -- are you going to talk about the diterpenes, or
19 reviewing them first?

20 DR. BRESLAWEC: No, no, no. We simply
21 want the panel to have this discussion.

22 DR. MARKS: Right.

1 DR. BRESLAWEK: You know, if you're
2 going to review something like rosemary-derived
3 ingredients, do you also include components --
4 rosmarinic acid, or solic acid.

5 MS. EISENMANN: Well, what struck me is
6 that this is one of the rare times where the
7 industry has come out and said "we normalize this
8 to carnosic acid andarnosol. Well, carnosic
9 acid is also a cosmetic ingredient, and it's very
10 structurally similar to rosmarinic acid. So why
11 pick rosmarinic and not carnosic? I don't know
12 the answer.

13 So that's why I thought maybe you wanted
14 to -- I mean, like for licorice, what you did
15 there is you reviewed the components of licorice
16 first, and then you reviewed the mixtures.

17 So I just thought maybe you should
18 develop some kind of a policy on when do you
19 include a component. I mean, it's getting to be
20 more and more components are in the dictionary.
21 When do you review a component, versus a mixture?
22 It didn't come up until I saw that, you know, that

1 carnosic acid is being used to normalize these
2 extracts.

3 DR. SHANK: Okay. So, let -- rosmarinic
4 acid itself is an ingredient.

5 MS. FIUME: It is an ingredient. I
6 think --

7 MS. EISENMANN: So is carnosic. I mean,
8 there are other similar compounds that are in the
9 dictionary that could be cosmetic ingredients. I
10 don't think there's any uses of some of them, but
11 that's -- when you pick one and not the other, I
12 just thought you should discuss it.

13 DR. HILL: Right -- if rosmarinic acid
14 is not showing up in here as a significant
15 constituent in any of the extracts, then it
16 doesn't, to me, make sense to be lumping it
17 together with these extracts. On the other hand,
18 if carnosic acid is showing up -- which it is --
19 as a significant constituent, and is even being
20 used to normalize it, then we're going to put
21 something in here that would certainly be more
22 sensible. But whether we want to do that or not,

1 that seems to be a more philosophical question.

2 To me, if these extracts are often being
3 standardized on that ingredient, then that
4 ingredient should be reviewed, separately
5 reviewed. It can go through roughly at the same
6 time, and then you can at least reference back to
7 that in the appropriate spots, in terms of the
8 plant extracts.

9 But that's just the way I see it.

10 DR. MARKS: So, let's take carnosic as
11 an example. How many different botanicals would
12 that be found in? What would you guess? A lot?

13 MS. FIUME: It's hard to tell. And the
14 problem with these botanicals is, as we go through
15 the published information -- because, often --
16 now, we did get information from industry that
17 talks specifically to carnosic acid and carnosol,
18 but from our standpoint, we don't know if that's
19 being standardized to that, because it's being
20 listed as antioxidant. And is that becoming a
21 claim information, or is that relating directly to
22 cosmetic safety?

1 So that's one of the issues we have as
2 writers, because we don't want to put claim
3 information in the safety evaluation that needs to
4 reflect cosmetic safety.

5 And as we go through these botanicals --
6 currently we're writing a report on citrus
7 ingredients, and the number of constituents is
8 incredible. It's probably about 10 pages long
9 right now. So, if we're not getting, searching
10 the published literature for the constituent
11 information, it depends on where it was grown, and
12 what time of year it was grown, how much it rained
13 that year --

14 DR. HILL: Of course it does. It does.

15 MS. FIUME: Right. So, if we're not
16 being given constituent information each time, on
17 the cosmetic ingredient, it becomes very difficult
18 for us. We start searching for a needle in the
19 haystack in writing reports on chlorogenic acid,
20 carnosic acid, ursolic acid. It becomes a report
21 on constituents that may be in those botanicals,
22 rather than the botanicals themselves.

1 As we go through this, we're thinking,
2 okay, so the safety -- on many of these, because
3 their GRAS ingredients, and they can be eaten in
4 the ingestion isn't the concern. It's the
5 irritation and sensitization. Is it something you
6 look at as "Is it an irritant, is it a sensitizer,
7 that cosmetic ingredient, as in formulation?"

8 So, as writers, we are also struggling
9 with the best approach for these botanicals
10 because of all these uncertainties.

11 DR. HILL: I take issue with what you
12 just said. Just because something is GRAS,
13 doesn't mean that that captures the toxicology if
14 you smear it on your skin.

15 MS. FIUME: No, I agree.

16 DR. HILL: Because if you have a
17 component that's present at relatively low levels
18 -- I mean, our digestive tracts are engineered to
19 respond to the -- "respond" is the wrong word,
20 deal with the presence of some of these things.

21 Our skin may or may not be.

22 DR. SLAGA: It's one of the barriers.

1 DR. HILL: It's a barrier, and that's
2 why the barrier is there. But there are some of
3 these that can be extremely well dermally
4 absorbed. I mean, we get poison ivy -- I mean, I
5 can't even walk down the street from poison ivy,
6 or I've got a problem. So that's just one example
7 of the result of a constituent in a plant.

8 And what you said is exactly to the
9 point. If somebody were going to study the
10 toxicology of something that is fundamentally a
11 complex mixture, we need to know, when we read
12 across, even from things from the same plant, is
13 that study, toxicologically relevant to the thing
14 we're reading across to?

15 So, if you don't normalize to
16 constituents of interest in terms of how much is
17 there in the first place and, secondly, known
18 biological effects, well, how do you base any
19 read-across decisions?

20 I mean, you have to get at that issue.
21 And I don't think it needs to be a needle in the
22 haystack, because you're talking about things that

1 are present at a high concentration, or are known
2 to be sensitizers or allergens.

3 And that list is much shorter. That
4 doesn't mean there couldn't come up something that
5 we don't know, but odds are, you know, that will
6 be found by people using something out there, and
7 we're having a lot of incidences. And probably
8 nobody dies from that. And so -- but we'll become
9 aware of a new sensitizer, the more and more these
10 botanicals get used.

11 But I think it's like any clinical study
12 for a drug use of a botanical. You've got to
13 standardize on something. One that's on my mind,
14 for example, is echinacea. It's probable that
15 people have been standardizing on the wrong thing
16 or things. There's science going on, actually, at
17 our institution that's showing that pretty nicely.

18 So, I mean, just because you're
19 standardized on something doesn't mean you know
20 what you're doing. But at least it has some -- if
21 you capture those major things, and you capture
22 the known bad actors, and you capture the known

1 things that are doing something, then you can get
2 a sense of if we study -- if we have a
3 toxicological result on this particular extract,
4 how relevant it is to those other things.

5 So, here we have a flower extract that's
6 aqueous, that's clearly not going to be relevant
7 to an oil extract. CO-2 extract, which we see in
8 a couple of these is something different yet. We
9 have to know.

10 You do a bit of toxicology results, is
11 that relevant in the read-across? And how in the
12 hell you should you get at that?

13 But in this particular case, if they're
14 standardizing on that one component, I don't -- I
15 think that suggests that there's at least thinking
16 that that's important, and provide some way of
17 getting some consistency with botanicals. That's
18 probably about the best one can do until we are a
19 little more sophisticated.

20 But the better mass-specs get, and the
21 better we can do analytics that do pattern
22 recognition, I think the better that will come.

1 like to proceed? Would you like to proceed with
2 this as the botanical, remove the acid, and then
3 we can save the acids for another day? Because I
4 guess the question is, what needs -- if we remove
5 the acid, what needs do we have for this mixture
6 of ingredients, since that's not -- mixture of
7 components in these rosemary ingredients?

8 DR. SLAGA: Well, I agree with Ron
9 Shank. I think we should take it out, because
10 there are other acids that are extremely important
11 in this mixture. And all we're doing is
12 highlighting one particular acid where there's
13 other acids that could be more -- I'll pick out
14 ursolic acid, just for comparison. And so, you
15 know, we're dealing with botanical extracts. And
16 I think we should deal with the total extract,
17 regardless what's in them.

18 DR. BERGFELD: So you're really talking
19 about only mixtures here.

20 DR. SLAGA: Right.

21 DR. MARKS: So, deal only with the
22 extracts -- botanical extracts.

1 DR. SLAGA: Or we should highlight other
2 acids, since --

3 DR. BERGFELD: We have oils, too, and
4 they are considered -- extracts, and also powder?

5 DR. MARKS: Okay. So, remove the acid,
6 deal only with the botanical extracts, the
7 mixtures in this report. The acids would be in a
8 separate report.

9 DR. SHANK: Just, as a --

10 DR. MARKS: Does that sound good to you,
11 Ron Shank?

12 DR. SHANK: -- an aside, if you include
13 specific acids, these are not GRAS ingredients
14 necessarily. And that changes our focus.

15 If these are GRAS food additives, then
16 our need for extensive systemic toxicology data --
17 right? -- goes away. All right? And we can focus
18 on skin.

19 But now, if you add non-GRAS components,
20 then we have to have a different data set.

21 So, I think it's a good idea to separate
22 out acids which are known not to be components of

1 the cosmetic extract.

2 DR. MARKS: Ron, do I understand -- they
3 aren't "known" to be components? Of if they are,
4 they're not enough to rise to a toxicologic level,
5 since they're GRAS, in the mixture? Because they
6 are components, are they not?

7 It's just they are --

8 DR. SHANK: They're components, okay.
9 But to include a component of the plant, which is
10 known not to be a component of the cosmetic
11 ingredient that we're considering,
12 toxicologically, it's easy to separate out those
13 components which are -- plants components which
14 are not components of the cosmetic ingredient.

15 DR. MARKS: So, so far, what I -- if I
16 hear the team correctly, we will deal just with
17 the mixtures, in this report. We'll remove
18 rosmarinic acid. We'll deal with the acids in a
19 separate report in the future.

20 And then, now the question is this -- do
21 we need anything else from me?

22 The oil was okay. That's on page 18.

1 But I wanted to see an HRIPT for leaf extract at
2 10 percent.

3 So I would issue an Insufficient Data
4 Notice.

5 DR. HILL: So, what leapt out at me is,
6 we have very little chronic toxicology on the
7 leave oil. And it only is oral. And it only is
8 three weeks' gavage in Swiss Albino mice. And
9 there is no repro-tox. And in terms of
10 possibility of getting something in by the dermal
11 route, surely the things that are in the oil are
12 much more likely than in these other extracts --
13 unless I'm missing something.

14 So, I wanted to see, really, repro-tox
15 for the oil, delivered by a dermal route.

16 DR. MARKS: Ron Shank?

17 DR. HILL: Which is a big request, I
18 realize.

19 DR. MARKS: Yes. Again, everything we
20 say, at least at this stage, would be an
21 Insufficient Data Notice.

22 But, Ron Shank, did you have -- I have

1 "Question pregnancy" on page 19 of the report.

2 DR. SHANK: Under "human," I think we
3 need to expand that, and know why the PDR says
4 that rosemary preparations -- that's rather
5 general -- shouldn't be used during pregnancy. I
6 think that needs to be expanded, as to what they
7 had in mind.

8 DR. MARKS: Monice, did you have
9 anything more?

10 MS. FIUME: I'm sorry -- what? On the
11 --

12 DR. SHANK: On page 19 -- no, 16, at the
13 very bottom of the "Human" -- "Reproductive and
14 Developmental Toxicology," it says "Human." And
15 then, "According to the PDR...rosemary
16 preparations should not be used as a drug during
17 pregnancy." And then there's no mor information.

18 So I think we need to know why the PDR
19 makes that recommendation.

20 DR. MARKS: That's Physician Drug
21 Reference? PDR?

22 DR. SHANK: Yes.

1 DR. HILL: But for herbal medicines.

2 It's not the standard PDR.

3 DR. MARKS: Right. Well, that's still

4 --

5 DR. HILL: But it's still --

6 DR. MARKS: Herbal.

7 DR. HILL: Mm-hmm.

8 DR. SHANK: They had something in mind.

9 DR. MARKS: So that would be an
10 "insufficient data" also, "Why is that?"

11 So, I think Ron Hill, it reinforces your
12 concern about pregnancy.

13 DR. HILL: Well, I don't know if it does
14 or it doesn't, I guess, in this. But I did notice
15 that, and I didn't get a chance to consult with
16 our in-house expert on that subject --

17 DR. BERGFELD: It says --

18 DR. HILL: -- before I came.

19 DR. BERGFELD: -- under "Toxicology,"
20 that in the rat model, it decreases fertility.

21 DR. HILL: That's there.

22 DR. SHANK: And there is a dose-response

1 relationship there.

2 DR. BERGFELD: So, because there's no
3 "human" on that --

4 DR. SHANK: Yes, that's rat data.

5 DR. BERGFELD: Yes.

6 DR. SHANK: But apparently there are
7 human data.

8 DR. HILL: Something resulted in that --

9 DR. SHANK: Something caught to the
10 attention of the committee that wrote that part of
11 the PDR.

12 MS. FIUME: In reviewing this
13 information -- and this is something that would be
14 great to have guidance on from the panel -- is
15 that the rosemary teas, or the very strong
16 rosemary preparations, from what I found in
17 reviewing botanical -- the folk medicine, the
18 herbal guidelines -- is that it could be an
19 abortifacient, and it's not recommended for
20 pregnant women to drink rosemary teas.

21 Now, like I said, that is from herbal
22 books. And that's the problem with the

1 botanicals, it's -- you know, you have to be very
2 careful as to what you're discerning. I took it
3 from these two references that that's something
4 that you would prefer not to have in the report?
5 Because, they're looking at drinking the herbal
6 tea, versus what you would be putting on the skin.

7 I'm happy to take it out. I didn't want
8 to not put it in, and then have someone say "You
9 haven't talked about this."

10 So I'd rather put it in, and then if the
11 panel decides that they would just prefer not to
12 have that in there because it really does not
13 refer to the cosmetic use of the ingredient, I'd
14 be happy with doing that.

15 DR. SHANK: I think you should leave it
16 in. Good -- it's good that you put it in. I just
17 think it needs to be expanded. And exactly what
18 you say, is this would be at an exposure that
19 would be not reached in cosmetic use.

20 DR. HILL: And I would question whether
21 we know that for sure, because I'm looking at
22 leave-on concentrations of 10 percent. And,

1 again, I say there are components, especially in
2 oils, that are probably going to get into the
3 system better through the skin. I'm thinking of
4 somebody smearing something all over their skin in
5 a leave-on -- you know, large body surface area
6 exposed, repeatedly, over some period of time.
7 I'm not sure we're confident to say that the
8 exposure would be less than drinking the strong
9 tea, of whatever ingredients might be the cause of
10 the abortifacient activity -- if, in fact, that's
11 true.

12 DR. SLAGA: I guess I don't understand.
13 Because it's an oil base, why it would be absorbed
14 in the skin more than the intestine?

15 DR. HILL: Because oils diffuse through
16 the skin. They're lipophilic, and they can reach
17 the --

18 DR. SLAGA: Well, lipophilics can go
19 through the digestive tract, too. I don't --
20 that's the point I'm getting at.

21 DR. HILL: But we have liver enzymes
22 designed to --

1 DR. SLAGA: Or the respiratory tract.

2 DR. HILL: We have liver enzymes
3 designed to take those things out, through
4 millions of years, probably, of evolution in the
5 digestive tract. Whereas I doubt that we've
6 evolved to respond to things we might smear on at
7 10 percent, over a wide body surface area. And I
8 just --

9 DR. SLAGA: If you look at all the
10 portals of entry into the body, sure, they don't
11 have the amount of enzymes you have in the liver,
12 but they do have enzyme levels to help detoxify,
13 just as the liver does.

14 DR. HILL: Of course they do, but it
15 doesn't always get them. That's why transdermal
16 delivery systems work. That's why we have
17 numerous marketed products that make use of
18 transdermal delivery, that really don't have
19 anything magical in there to allow those things to
20 penetrate the skin, it's just if you have enough
21 potency.

22 And the bottom line is, we have

1 first-pass effect in the gut, both microbial gut
2 wall enzymes, liver enzymes, and even digestive
3 enzymes, that we don't have in the skin.

4 DR. SLAGA: But if you look at, in the
5 digestive tract, you would have a larger volume of
6 things --

7 DR. HILL: But it all goes to --

8 DR. SLAGA: -- oil based, to what --

9 DR. HILL: -- but it all goes to the
10 liver. So, unless you give whopping, huge doses,
11 you don't swamp those systems.

12 DR. MARKS: Okay. So, let's come back a
13 bit. I would suggest an Insufficient Data Notice.
14 What I have right now are: Why rosemary should not
15 be used in pregnancy, that's mentioned in the PDR
16 Herbal. And let's try and clarify that.

17 We would remove rosmarinic acid, deal
18 with only the botanical extracts, in this report.
19 The acids would be in a separate report.

20 And the third thing is the HRIPT for the
21 leaf extract at 10 percent.

22 DR. HILL: I have one more. Okay,

1 that's why I wanted to summarize.

2 DR. MARKS: And then I also want to
3 bring up -- so, go ahead, Ron Hill. What was the
4 other? Is that -- team, do those three things, so
5 far, sound good to you? Ron, Ron, and Tom --
6 those three things? Okay.

7 So, Ron Hill, what's the next thing that you --

8 DR. HILL: The other one was just a
9 manufacturing question, and it goes to what things
10 might be generated by the processes of
11 deodorizing, which are not described. In other
12 words, when they deodorized -- which is mentioned
13 in at least two of these extracts -- what exactly
14 is it that they're doing? What compounds might
15 result, or -- if I know the process, then I can
16 conjecture, based on what's present in the plant.
17 But --

18 DR. MARKS: Interesting. Ron Shank --

19 DR. BRESLAWEK: I'm sorry, could you
20 just repeat that?

21 DR. HILL: Yes. The question is, in the
22 processes of preparing a couple of these abstracts

1 -- I can give you the specific ones, but all you
2 have to do is search on "deodorize" -- the
3 question is, what is the chemistry involved? What
4 are they actually doing to deodorize in those
5 particular extracts?

6 And it goes to the issue are they
7 generating any compounds of potential
8 toxicological concern. You know, like when you
9 whiten paper, for example, you're generated
10 chlorinated biphenyls. And I'm not suggesting
11 that's what happens here, but I'd like a little
12 more information about what that process entails
13 -- without somebody giving away what's in their
14 patent, you know, roughly, what are they doing --
15 if we can get it.

16 DR. MARKS: So, what page is that?

17 DR. HILL: Probably in a couple of the
18 tables. I can search it if you want to know.

19 DR. MARKS: So, Ron Shank, Tom, was this
20 deodorizing step in the manufacturing a concern to
21 you? Or is there enough in this section? Where
22 is the manufacturing section? What page is that?

1 DR. HILL: I'm not even sure it shows up
2 in the "manufacturing." I think it does. But it
3 was in a couple of the tables that describe
4 something about the processes by which these
5 abstracts are prepared.

6 I'll just search "deod," and then I
7 should be able to find that in just a second.

8 DR. MARKS: Do you remember --

9 MS. FIUME: I'm sorry, I'm in my WORD
10 version. Let me look -- under "Preparation and
11 extraction" --

12 DR. HILL: "Preparation and extraction,"
13 it shows up three times. And then --

14 DR. MARKS: What page is that?

15 DR. HILL: PDF page 10. PDF page 10.

16 DR. MARKS: Okay. So --

17 DR. HILL: It shows up again in the
18 "Constituents/Impurities" in the -- one, two,
19 three -- fourth paragraph down.

20 DR. MARKS: That's okay, let's go back
21 to Ron Shank and Tom. Are you equally intrigued
22 as to what does "deodorized" mean? "Deodorized,

1 decolorized, and standardized using diluents and
2 carriers that are permitted in foods," is the last
3 sentence of that first paragraph under Preparation
4 and Extraction."

5 DR. HILL: Table 6 is the other place,
6 by the way, where this is mentioned a couple of
7 times.

8 MS. EISENMANN: Those references to USP
9 in the European Food Safety Authority. So it must
10 be pretty standard methods.

11 DR. HILL: I'm assuming they're widely
12 used processes. I have just -- I know nothing
13 about it, and I'd like to know, in this particular
14 case, if it's applied to these extracts, what
15 sorts of things might be happening?

16 DR. SLAGA: I didn't have a concern with
17 the deodorizing.

18 DR. MARKS: Okay. I'll just note that,
19 then, under -- and, Ron Hill, I'll associate --

20 DR. HILL: That's fine. Put it out
21 there.

22 DR. MARKS: -- your name. And I'll just

1 put -- we'll find out what comes out of that. But
2 that doesn't sound like that's a deal-breaker, as
3 far as an Insufficient Data Notice, if we don't
4 get that data.

5 DR. HILL: It's also in Table 7. I said
6 Table 6, I also see it in Table 7 several times.

7 DR. MARKS: Okay. "What is
8 'deodorized'?"

9 DR. HILL: It sounds like a Jeopardy
10 question.

11 DR. MARKS: Any other needs? So it's
12 used in baby -- there's baby and inhalation
13 exposure. Does that raise any concerns?
14 Obviously, for inhalation, we'll just put the
15 inhalation boilerplate, I presume.

16 Baby exposure? Any concerns about that?
17 No -- other than what we've put.

18 So does it sound -- tomorrow, again,
19 I'll repeat myself, our team would recommend an
20 Insufficient Data Notice, and with the HRIPT of
21 the leaf extract why is rosemary not recommended
22 in pregnancy? Remove the rosmarinic acid. And

1 then, potentially, clarify a bit on the
2 manufacturing, what is "deodorize"?

3 Any other needs? Does that sound like a
4 proper way to move forward?

5 DR. BERGFELD: Could I ask a question?
6 The acid that will be deleted is mentioned all
7 through the text.

8 DR. MARKS: Yes.

9 DR. BERGFELD: Are you taking it out, or
10 leaving it in? Leaving it in, or taking it out?

11 DR. SLAGA: I would take it out.

12 DR. BERGFELD: And then there's mention
13 of phototoxicity. How did you all feel about
14 that? There were some photox testing -- the rat
15 --

16 DR. SHANK: What page was that, please?

17 DR. HILL: I had a note that there
18 wasn't any phototox done on the oil, but I wasn't
19 sure, based on what's in it, that there was any
20 need to do that. So --

21 DR. MARKS: Right -- which page are you,
22 Wilma? I didn't pick out that.

1 DR. BERGFELD: I'm on 11, but I'm not
2 sure how you're translating that. It has to do --
3 I think it's -- let me see if it's under this --

4 DR. SHANK: Oh, Report page 11?

5 DR. BERGFELD: Yes -- under the
6 "Summary." I --

7 DR. MARKS: What is the PDF number? 11,
8 for me, brings up the "steam distillation."
9 Preparation extracts.

10 On the PDF, what page would that be?
11 Let me see if I put it in --

12 DR. BERGFELD: It's also in the table.

13 DR. SHANK: It would be page 20.

14 MS. FIUME: 18 of the PDF. Page 18 on
15 that is the first reference to phototoxicity
16 (inaudible) extract.

17 I'm sorry -- PDF page 18.

18 DR. MARKS: It's the leaf -- "weak
19 irritants," "phototoxicity" -- "None of the
20 extracts were phototoxic." That was under was
21 under -- that's the first study.

22 So I took that -- that's under 10

1 joules, which is a proper amount of UVA, 75
2 percent of the MED. So I thought that was okay.
3 And I used that as the --

4 DR. BERGFELD: I saw that, too, but
5 there was mention in the body of the document
6 something about phototox, where it was positive --
7 or questionably positive.

8 I don't have it listed like you do.

9 DR. MARKS: Let me see here.

10 MS. FIUME: I believe it's Table 13.

11 DR. MARKS: And what page is that?

12 MS. FIUME: I'm looking.

13 DR. MARKS: Okay.

14 MS. FIUME: Is it that Adobe package
15 you're using?

16 DR. MARKS: Yes.

17 DR. BERGFELD: Okay.

18 DR. MARKS: But it still should be the
19 same page in the document. Yes, I'm using Adobe
20 Pro, and they say --

21 So, which table did you say, Monice?

22 MS. FIUME: 13.

1 DR. MARKS: 13 -- so that -- let's see,
2 where am I? Table 8 is the "Use."

3 DR. BERGFELD: So, you have pickled
4 rosemary leaves. They had photo patch-testing
5 reactions.

6 MS. FIUME: Page 49 of the PDF.

7 DR. MARKS: 49.

8 MS. FIUME: These are case studies.

9 DR. MARKS: Yes, that's --

10 MS. FIUME: Irritation, sensitization,
11 and photo reactions.

12 DR. MARKS: Yes, I guess how I approach
13 case studies is, if I see a cluster of a number of
14 them, then I get really concerned. If I see one
15 or two, it doesn't surprise me. I put much more
16 weight on the photo-testing that was done in the
17 body.

18 DR. BERGFELD: I just -- I don't know
19 anything about the chemistry, specifically about
20 the UV-spectra analysis of any of these. But you
21 suspect them to have anything?

22 DR. MARKS: No.

1 DR. BERGFELD: Okay.

2 DR. MARKS: And it's not something that,
3 in my mind, comes up as a phototoxic plant, in
4 practice. So I wasn't concerned about it.

5 DR. BERGFELD: Okay.

6 DR. MARKS: From a phototoxic -- thanks.

7 DR. BERGFELD: It was questionable.

8 DR. MARKS: Thanks, Wilma. Any other
9 comments? Okay. So we'll see, tomorrow, how the
10 Belsito team --

11 So, Insufficient Data Notice. Okay.

12 Let's see --

13 DR. HILL: Dr. Marks, can we have a
14 five-minute break?

15 DR. MARKS: Sure. Absolutely. It's
16 10:21. We'll reconfigure at 10:30. That's eight
17 minutes.

18 DR. HILL: That's beautiful. Thank you.

19 DR. MARKS: Okay. You're welcome.

20 (Recess)

21 DR. MARKS: Shall we restart? We're to
22 the draft final report on methyl glucose

1 polyethers and Esters; is that correct?

2 SPEAKER: Uh-huh.

3 DR. MARKS: Â Post break, at the June
4 meeting, we issued a tentative report with a
5 conclusion as safe. They were some comments on
6 this report from the counsel, this is listed on
7 the memo, by Wilbur. Where's Wilbur?

8 MS. FIUME: He is still under review in
9 the other team.

10 DR. MARKS: He's under review?

11 Laughter) I'm surprised he doesn't want to come
12 here, then.

13 MS. FIUME: His reports are still being
14 reviewed with the team.

15 DR. MARKS: Okay. At any rate, there
16 were 25 ingredients. Ron, Tom, actually, I think
17 most of the comments from the counsel, Ron Shank
18 and Ron Hill, your comments about that; is there
19 any reason we shouldn't proceed with a final
20 report with a safe conclusion?

21 DR. SHANK: No, safe.

22 DR. SLAGA: Safe.

1 DR. MARKS: Safe, okay.

2 DR. HILL: I think those comments
3 happened because the weeding wasn't done after the
4 new data came in completely enough. That was my
5 impression, that there were gremlins left over
6 from, what do I want to call it, things that
7 weren't removed upon the basis of the presence of
8 the new data, so reworded or changed.

9 MS. EISENMANN: One thing that still
10 needs to be corrected is in Table 5, the Lubrizol
11 data. They provide the percent active for all of
12 their trade name materials, and a number of them
13 are 100 percent, and one is 70 percent, and it's
14 in the new comments. So that still has to be
15 filled in, there's a blank for a number of them,
16 and that may affect in the text when you say the
17 material is tested undiluted, but it was 70
18 percent active. So that type of information might
19 be more helpful in the text rather than referring
20 it back to the Table.

21 DR. MARKS: So, Monice, you'll get,
22 that, I look at as significant, but editorial

1 comments in the report. Any other comments?

2 DR. SHANK: Yes. We have, in the
3 discussion, the first paragraph, and then below it
4 a revised paragraph; I recommend we delete the
5 first paragraph that starts with no, and use the
6 revision as the first paragraph.

7 DR. HILL: Discussion?

8 DR. SHANK: Discussion, PDF page 35.

9 DR. MARKS: Right, use the revised
10 paragraph. Okay. Any other comments?

11 DR. HILL: Actually, I ditto what he
12 just said.

13 DR. MARKS: Okay. So, tomorrow morning,
14 I'll move the methyl glucose, polyethers and
15 Esters are safe in the present practices of use in
16 concentration, and then a final report be issued
17 with this conclusion. And, Ron Shank, do you want
18 to mention that discussion point more, or is that
19 -- I'll leave it up to you. Wilma will be asking
20 if there are any discussant points if you want to
21 mention that, that will be fine.

22 DR. SHANK: Okay.

1 DR. MARKS: A revised. Okay.
2 Polyquats, another final report. So, in the June
3 meeting, we issued a tentative safety assessment
4 with a conclusion of Polyquaternium22 and 39 are
5 safe. And are there any editorial comments, any
6 concerns with that final conclusion? Ron Shank?

7 DR. SHANK: I don't have a problem with
8 the conclusion. On page 20, PDF page 20, at the
9 top in Figure 1, we have the acronym DADMAC,
10 DADMAC, and I'd like the chemist to explain to me
11 how that agrees with the name of this chemical.

12 DR. HILL: That would be the name of the
13 monomer that was used.

14 DR. MARKS: Okay.

15 DR. HILL: So you don't see the diallyl
16 anymore, because in the polymerization process,
17 that's where the double-bonded grips on the allyl
18 substituents on that Pyrrolidine Ring react. So
19 it's the two wings at the top that were part of
20 the allyl substituents, and that's the diallyl,
21 the Dimethyl, the NN Dimethyl, and because it's
22 quaternary, you call that an Ammonium center.

1 DR. SHANK: I get that, but diallyl --

2 DR. HILL: Yeah, it's the --

3 DR. SHANK: -- doesn't seem correct.

4 DR. HILL: So when you see the CH₂s that
5 are up there at the top, those are coming from the
6 allyl group.

7 DR. SHANK: Yes.

8 DR. HILL: Now, whether the brackets are
9 in the right spot --

10 DR. SHANK: Okay. No, it's in the first
11 line, the Figure 1, it says DADMAC --

12 DR. HILL: Yeah.

13 DR. SHANK: -- is
14 Diallyldimethylammonium Chloride, and I don't
15 think DADMAC is.

16 DR. HILL: DADMAC isn't diall -- that's
17 the monomer that goes into the polymerization,
18 isn't it? Yes. So it may be that we're not
19 showing enough of the, the brackets are in the
20 wrong place, this may be the problem, here,
21 because the monomer should include two carbons.

22 DR. MARKS: Bart, I'm going to let you

1 arm wrestle with Ron Shanks, and --

2 DR. SHANK: Okay.

3 MR. HELDRETH: There should be --

4 DR. SHANK: You guys are chemists, you
5 can fight it out --

6 DR. HILL: Are you catching what I'm
7 saying that the brackets might not be capturing --

8 MR. HELDRETH: There should be an extra
9 CH₂ inside the bracket.

10 DR. HILL: Exactly.

11 MR. HELDRETH: But the monomer is
12 correct, it's just maybe it's not represented
13 perfectly as a residue within the polymer. But --

14 DR. HILL: And I --

15 DR. SHANK: In the structure that says
16 DADMAC, there's no diallyl moiety.

17 MR. HELDRETH: Well, to the same extent,
18 there's no acrylic acid in the other residue, it's
19 just -- if you look to polymer nomenclature,
20 you're always using the monomers in the name.

21 DR. SHANK: Okay.

22 MR. HELDRETH: So this is, although

1 maybe not accurate, but that's really acrylic acid
2 in DADMAC, that is the traditional nomenclature
3 behind polymers, this is the name of the monomer.

4 DR. SHANK: Okay. If you're happy with
5 it, I'm happy with it.

6 DR. HILL: No, I'm thinking we're
7 missing carbons in the bracket, and I kind of
8 didn't catch that, because I knew exactly what
9 should be there.

10 MR. HELDRETH: Right, that can be added
11 in.

12 DR. HILL: Okay.

13 MR. HELDRETH: But the names for the
14 monomers are appropriate.

15 DR. SHANK: Okay.

16 DR. MARKS: Any other comments, Ron
17 Shank, that doesn't sub substantially change,
18 obviously? Rachel, did you have a comment?

19 MS. WEINTRAUB: Yes, I did. My comment
20 was to the panel to ask them if, in the
21 discussion, they are satisfied with how the text
22 explains the absence of data, and whether, since

1 the molecules are large, it's sufficient that
2 there wasn't genotox, carcinogenicidian,
3 reproductive and developmental tox data?

4 DR. MARKS: Which page are you on?

5 MS. WEINTRAUB: So this is PDF page 19,
6 the second paragraph of the discussion.

7 DR. MARKS: Yeah, I think, for me, when
8 I read that paragraph, the molecules would not be
9 absorbed is the key issue in terms of not
10 requiring the reproductive developmental.

11 DR. HILL: You could reword it to say it
12 would not be bioavailable by any route of
13 exposure. That would get, basically --

14 MR. SHANKS: So any systemic toxicology
15 would be impossible, I guess that's --

16 DR. HILL: I'm overstating the case a
17 little bit, but that's what we're trying to say.

18 DR. MARKS: And, Tom, does that also
19 apply to you for the genotoxin carcinogenicity
20 again, that it not be absorbed?

21 DR. SLAGA: No, no, you can have
22 mammalian genotoxicity in cells in culture versus

1 also in whole animals, depending -- so it doesn't
2 apply to mammalian cells in culture, so the
3 sentence should be reworded, it creates a little
4 additional work on --

5 DR. MARKS: How would you suggest that,
6 Tom? Again, editorial. Maybe between now and
7 tomorrow, Tom, Ron, could you give either Monice
8 or Wilbur --

9 MS. FIUME: Can I ask -- I'm just
10 reading this, and it's Wilbur's -- it's saying
11 that all of the information is negative, so
12 instead of having while and all those issues, just
13 focus on the fact that all of that is negative,
14 and therefore, we're not concerned because all of
15 this is negative; is that correct?

16 DR. MARKS: Does that clarify that, Tom?
17 Do you think Monice got --

18 DR. SLAGA: It's better than the way it
19 is now.

20 DR. MARKS: Right. Okay. And then we
21 have a sidebar -- are you still discussing
22 chemical structure, here?

1 DR. HILL: We're on DADMAC again, I'm
2 sorry. DADMAC -- we're having a sidebar on
3 DADMAC.

4 DR. MARKS: Let's get back to Rachel's
5 question, here.

6 DR. HILL: Maybe it needs to say from
7 DADMAC.

8 DR. MARKS: So, Tom, it sounds like we
9 clarify what -- and then you, Ron Hill, had given
10 some other wording, as far as that second
11 paragraph.

12 DR. HILL: That wasn't intended to be
13 wording --

14 DR. MARKS: Right, okay.

15 DR. HILL: -- that was intended to sort
16 of overstate the case, so then we could back off
17 to get some sense of what needed to go there.

18 DR. MARKS: Ron Shanks --

19 DR. HILL: And I guess what I was
20 driving at is, let's say we treated mammalian
21 cells in culture, they might be able to engulf
22 these polymeric substances and we might get some

1 effect, but basically, they can't reach the system
2 by any route of exposure that we're using, here,
3 in a way that would cause any toxicology. Even if
4 the release low levels of monomers present, we're
5 not going to get enough into the system in any
6 route to cause a problem, I think.

7 DR. MARKS: It almost sounds, when I
8 read the paragraph, it comes from a bunch of
9 negatives, it should be reframed to a bunch of
10 positives. Do we need to see that revision, Ron
11 Shank knows.

12 DR. SHANK: No, I think it's perfectly
13 clear.

14 DR. MARKS: Okay. Is that okay, Rachel,
15 then?

16 MS. WEINTRAUB: Yes.

17 DR. MARKS: Thank you for bringing that
18 up. Any other comments? So, Monice, you'll kind
19 of tweak that a little bit.

20 MS. FIUME: Massage it.

21 DR. MARKS: Yes, massage it. Okay. So
22 let me see, here. So, again -- and let's see who

1 has the polyquats tomorrow? Belsito. So,
2 presumably, I'll be selecting a safe for these two
3 ingredients for final report. Any other comments?
4 So, let me see, chamomile, it's a tentative
5 report. So, kind of context, this is the second
6 time we've seen the report, although now what we
7 have is Chamomilla Recutita -- how do you say
8 that? German Chamomile split from Roman. And so,
9 in this case, we have the Recutita -- is that how
10 you say it?

11 DR. HILL: Latin, or would it be --

12 DR. MARKS: Any rate, we have, in June,
13 we gave an insufficient notice, we wanted skin
14 irritation sensitization data for the flower
15 extract, the use concentration at 10 percent. And
16 then, in the -- I have, in my notes, the new use
17 concentration of the flower extract is 0.5
18 percent, that's page 49 --

19 MS. EISENMANN: Yes, that's correct.

20 DR. MARKS: Previously, it was 10
21 percent. So is this insufficient data notice
22 incorrect, that we really don't need it for 10

1 percent?

2 MS. EISENMANN: There was, when I
3 started going back and asking for high
4 concentration, you know, they say we made a
5 mistake, it's really slow. What they end up doing
6 is giving me the concentration of the whole
7 extract, and not just the part that's the plant,
8 so they might be using a 10 percent of a trade
9 name material that contains an extract.

10 DR. MARKS: Okay.

11 MS. EISENMANN: So frequently, these get
12 revised down when they report that high.

13 DR. MARKS: So, the new use
14 concentration is really 0.5 percent of the flower
15 extract?

16 MS. EISENMANN: Correct. And I was able
17 to find our IPT was in Wave 2 with 0.4 percent.

18 DR. MARKS: Yes. So my question is, is
19 that going to be enough to -- it's very close,
20 obviously. How many parts per million does that
21 work out to be, the difference between -- what is
22 0.1 percent in parts per million, is that like

1 100, or what? Did you do the math on that, I
2 didn't.

3 MR. HELDRETH: 1000.

4 DR. MARKS: 1000 ppm. So now the
5 question is, certainly, if we were dealing with,
6 like, methylisothiazolone,
7 methylchloroisothiazolinone, a thousand ppm would
8 be really significant. Is it with this extract
9 with components of it which would be quite
10 diluted. So, for me, the issue was do we issue a
11 tentative report limiting it to 0.4 percent, which
12 is the HRIPT, or do we just issue a safe, since
13 it's so close to the 0.5? I could go either way.
14 I don't think there's, even though it's a thousand
15 ppm, I don't think it's going to make that much
16 difference with a botanical extract.

17 DR. BERGFELD: You can put it in
18 discussion.

19 DR. MARKS: Yeah, okay. So --

20 MR. HELDRETH: There is one
21 concentration that's higher for the Chamomile
22 Recutita extract that is not plant part specific,

1 it's 0.61, just to say.

2 DR. MARKS: Thank you. Which, you're on
3 page, let me see, page 49?

4 MR. HELDRETH: Correct.

5 DR. MARKS: And let me take a look --
6 where are you looking, Bart, on that, because
7 somehow I've got --

8 MR. HELDRETH: It's on --

9 DR. MARKS: It's probably --

10 MR. HELDRETH: Table 6.

11 DR. MARKS: It's --

12 MR. HELDRETH: 0.61 for dermal
13 contact --

14 DR. MARKS: Oh, yes, I guess it's
15 because it was just six uses, I tended to focus on
16 the 966 uses of the flower extract, 0.5. So,
17 again, I don't think, now we're talking about 2000
18 ppm, probably doesn't -- now, it is being used in
19 baby products, I just wanted to, on that same
20 page, 49, you see 26 baby products at a very low
21 concentration, 0.0097, but I just wanted to be
22 sure we had noted that as a team.

1 DR. BRESLAWEK: Yes, and that's a
2 rinse-off.

3 DR. MARKS: Yeah, a rinse-off. Let me
4 see, inhalation, there's some inhalation, so we
5 can put that boilerplate. Discussion, okay, any
6 other needs? I think we can move forward with a
7 safe, then, to me, unless Ron, Ron or Tom, you'd
8 have any other -- and I wanted to bring up azelene
9 also, which is found on page 39 in the discussion.

10 DR. HILL: My comment, I guess it
11 indirectly addresses that, which is that we have
12 no chronic tox data on most of these, and only
13 oral on the flower and flower extract chronic tox.
14 So the whole thing, I still don't have a problem
15 with the conclusion, but I think the discussion
16 needs to be very clear, and we're getting there
17 the way it is now, that the whole thing rests on
18 the fact that the concentrations, in general, of
19 use or low, the leave on concentrations of use are
20 quite low, and that's what provides with plenty
21 margin of safety. I haven't stated that in a way
22 that, I wouldn't want it captured directly that

1 way, but that's the gist of it, the assessment of
2 safety rests on the fact that overall use
3 concentrations are quite low, leave on
4 concentrations are even lower.

5 DR. BRESLAWEK: Dr. Marks, Dr. Hill, I
6 would just like to elaborate that this follows the
7 approach that the panel seems to have adopted for
8 botanicals, not because there's so little, but
9 because, initially, you're looking at products
10 that are used in foods and are often grass. And
11 as a result, you don't need to concern yourself
12 with systemic toxicity unless you have dermal
13 penetration, and so you're focusing more on
14 sensitization and irritation --

15 DR. HILL: And, again --

16 DR. BRESLAWEK: As opposed to just de
17 facto because they're used as very low --

18 DR. HILL: And, again, I say I'm not in
19 full agreement with that for the reasons I stated
20 earlier in that, just because something's grass
21 doesn't mean it's perfectly safe when you wear it
22 on your skin. It's just that, in this particular

1 case, we've got low concentrations particularly,
2 and notably so in the leave on, and so things like
3 azulene, which are raised, why it might be a
4 problem if the concentrations in the final product
5 were a whole lot higher. But it would have to be
6 a whole lot higher before we'd even begin to talk
7 about it, so that's what I'm hoping that we will
8 somehow capture. Otherwise, somebody says, well,
9 gee, there's this stuff and that stuff and that
10 stuff in there, isn't this a problem. And I think
11 it needs to be very clear it would be a problem if
12 we had these things being used at very high
13 concentrations. But the fact that we are giving
14 our assessment safe as used in cosmetic
15 ingredients, we need to be sure that somebody who
16 reads this knows that it rests on the art, the
17 current art based on what we see in those tables.
18 And that's important, very important.

19 DR. MARKS: Any other comments? So
20 that's just a discussant point.

21 DR. SLAGA: What is the conclusion,
22 safe?

1 DR. MARKS: Safe.

2 DR. SLAGA: No concentration limit?

3 DR. MARKS: I don't think so.

4 DR. SLAGA: We don't need to set
5 concentration limits because --

6 DR. MARKS: No, no, we're talking about
7 sensitization.

8 DR. SLAGA: No, the sensitization data
9 is good up to 0.4.

10 DR. MARKS: 0.4.

11 DR. SLAGA: So anything I would say --

12 DR. MARKS: Limit.

13 DR. SLAGA: -- say that, safe as used
14 up to 0.4 percent, because there are some uses for
15 leave ons above that.

16 DR. MARKS: Tom, that's fine. If you
17 could tell, Ron I was wavering as to whether to
18 set a limit or not. You're being, holding, being
19 pure, which I like.

20 DR. BERGFELD: May I ask a question?

21 DR. MARKS: Yes.

22 DR. BERGFELD: If it were a higher

1 concentration, you're worried about sensitization?

2 DR. MARKS: Well, we don't have data
3 that support --

4 DR. BERGFELD: I know, I was asking
5 what --

6 DR. SHANK: That would be --

7 DR. BERGFELD: That would be your
8 primary irritation and sensitization, or more
9 sensitization?

10 DR. SLAGA: Sensitization.

11 DR. BERGFELD: Then you could put in
12 your discussion what you did earlier that it
13 should be compounded to be (inaudible) formula.

14 DR. SHANK: I just think we're tending
15 to go to this limitation when formulated to not.
16 First, it was, would be irritating, and that
17 seemed to be okay, now irritation is quite
18 different -- I'm preaching to the choir, here --
19 irritation and sensitization, which is two
20 different things, and --

21 DR. BERGFELD: But there is a threshold
22 of sensitization.

1 DR. SHANK: Okay.

2 DR. BERGFELD: I'm just --

3 DR. SHANK: If the dermatologists are
4 fine with saying --

5 DR. BERGFELD: Well, they were fine
6 before --

7 DR. MARKS: Well --

8 DR. SHANK: One case, only one case.

9 DR. BERGFELD: Well, I was reminded
10 there was one other case.

11 DR. SHANK: Just one, yeah.

12 DR. BERGFELD: Two, now.

13 DR. SHANK: Two now.

14 DR. MARKS: Now, I'm fine with setting a
15 limit, I don't think that's -- because we know
16 it's definitely safe, using the HRIPT in Wave 2 up
17 to 0.4 percent, and that's, as I mentioned in the
18 beginning, I was struggling, wavering whether or
19 not, since now, Bart, you have it up to 0.6
20 percent, I was looking at the flower extract at
21 0.5 percent. Let's set it to 0.4 percent. This
22 is going to go out as a tentative report, industry

1 has one at 0.6 or 0.5, then we can put safe as
2 used.

3 DR. SHANK: Well, Table 6 lists the
4 flower at 1.2 percent in leave on.

5 MS. EISENMANN: That's a mistake,
6 though.

7 DR. SHANK: Okay. It's kind of hard,
8 then, to --

9 MS. EISENMANN: Well, if you look --

10 DR. SHANK: So what are the real
11 numbers, then?

12 MS. EISENMANN: Well, it's 0.5 there,
13 too, if I remember --

14 DR. SHANK: There?

15 MS. EISENMANN: Correct. Yes, if you
16 look at the Table that came from me, it's 0.5
17 there.

18 DR. SHANK: 0.5.

19 DR. BRESLAWEK: This is in a permanent
20 wave product?

21 MS. EISENMANN: Uh-huh.

22 DR. MARKS: Safe up to 0.4 percent.

1 And, let me see, I think I'm the one, and that's
2 what I'll make a motion tomorrow, and we'll see
3 where it goes with the Belsito team. Halyna, yes?

4 DR. BRESLAWEK: Yes. Again with the
5 search strategy. It would be perhaps useful to
6 search for some of the components, especially as
7 it relates to the toxicokinetics, the flavins, the
8 epogenic and luteolin.

9 MS. EISENMANN: I was just concerned
10 about the statements in the pharmacokinetics
11 section that it says the data were not found in
12 the published literature, and I did a quick look
13 on chamomile and kinetics and found three
14 references. I mean, this is a highly used
15 ingredient, there's going to be a lot of, I think,
16 German data on the components under kinetics. And
17 I'm not sure it necessarily needs to be in here,
18 but the statement that says there's no data just
19 doesn't seem appropriate. There's got to be a
20 different way to state that there may be data on
21 the components, but we didn't look for it, or -- I
22 don't know how you want to state it, but just

1 saying there's no data I don't think is the right
2 -- and I'm not saying you necessarily need to put
3 all the data in there, but the way it is written,
4 to me, was troubling.

5 DR. MARKS: So you'll communicate that
6 with Monice to Wilbur. Perhaps -- well, first of
7 all, I think, as with the previous botanical,
8 doing individual searches, although we don't have
9 Wilbur here to directly comment that, apparently,
10 a number of those individual components came up.
11 But I think that's to be heeded in the future as
12 to when there are important components, that
13 should be part of the search strategy, and
14 mentioned when it's under the search strategy.
15 Ron Hill?

16 DR. HILL: And then Bart. But we had
17 this discussion, I think, the last time or the
18 time before, and I've made note of it in several
19 of these reports. I mean, the concept of
20 toxicokinetics with these botanical extracts is
21 not even an appropriate concept. So, I mean, if
22 you know what components you ought to be looking

1 for, that would be one thing. So I react exactly
2 the same way you do to that in the sense of
3 toxicokinetics, N/A, because that's what I'd kind
4 of like to see. I mean, I don't know if we just
5 not have that section in there or we deal with it
6 in some other manner, but if you want to talk
7 kinetics, then you have to talk about particular
8 components, particular compounds, and that will be
9 different for each one of those compounds based on
10 their biohandleing. So --

11 DR. MARKS: So let's get back on page 39
12 in the draft discussion, the second -- no, the
13 third sentence is azulene has been identified as a
14 component. The panel previously concluded there's
15 insufficient to support the safety of azulene for
16 use in cosmetic products, then it says the panel
17 agreed that the component should be present at
18 levels that are below the threshold of toxicologic
19 concern. To me, there's a contradiction there, if
20 you said there's insufficient data on azulene, and
21 then you say the levels are below the
22 toxicological concern. How can you say that if

1 you have insufficient data? Bart?

2 MR. HELDRETH: I think it's, maybe it's
3 useful to say that, okay, there's azulene out
4 there and it has a certain toxicity in certain
5 situations, but I think we're failing to get to
6 the point of there's not complete chemical
7 characterization of these ingredients. And if we
8 don't know what concentration azulene is in each
9 of these ingredients, what do we really know about
10 how that's going to be effective at all. So maybe
11 there's data on azulene, but we don't have data on
12 how much azulene is in each one of these
13 ingredients. And I think that's what Wilbur was
14 going towards when he said there's no data,
15 because nobody's given us characterization data on
16 these ingredients, and it's not in the literature
17 that we've seen.

18 DR. MARKS: So, Rons, Tom, how would you
19 -- to me, if I read this discussion as it is right
20 now, I'm a little bit concerned. Halyna?

21 DR. BRESLAWEC: Look at Table 5.

22 DR. MARKS: And what page is that?

1 DR. BRESLAWEK: Jeez, I don't know.

2 DR. HILL: 32, 31.

3 DR. MARKS: No, Tables would be after
4 39.

5 DR. HILL: I'm on, sorry, I've got the
6 advance report, that's why.

7 SPEAKER: Page 25?

8 DR. MARKS: 45.

9 DR. BRESLAWEK: In the flower oil, there
10 is data, there's characterization.

11 DR. MARKS: Oh, yes, 0.4 percent, am I
12 reading that correctly, in the flower oil? Carol,
13 you have a look like you want to say something.
14 Nonverbally, you're communicating to me, that
15 isn't captured in this mic.

16 MS. EISENMANN: Yes, for at least the
17 oils and for chamomile there are probably plenty
18 of data. I mean, there's a lot of botanicals
19 where there are not data, but for the oil of this
20 one, I think there's probably enough data.

21 DR. SHANK: I think we have to keep in
22 mind that these are, the plant and the oil are

1 grass food ingredients, so the concern is the
2 skin, not systemic toxicity. So we need skin
3 data, and we have some.

4 DR. MARKS: Okay. So, again, Ron Shank,
5 you feel we can move forward with a safe?

6 DR. SHANK: Yeah, I say safe up to 0.4
7 percent.

8 DR. MARKS: Yes, right, that's based
9 on --

10 DR. SHANK: Based on the skin
11 sensitization.

12 DR. MARKS: Right.

13 DR. BERGFELD: 0.4 or 4?

14 DR. MARKS: 0.4 percent.

15 DR. SHANK: Now, in your experience,
16 clinical experience, is there much difference
17 between 0.5 percent and 0.4 percent? Is there
18 that kind of a cut off?

19 DR. MARKS: Well, that's why I asked it
20 be transposed to parts per million, because I
21 always -- and when you talk, it depends on the
22 chemical, a thousand parts per million is really a

1 lot for Formaldehyde or MCIMI. So, in this
2 botanical, is there a difference? I don't know.
3 I'm perfectly happy presenting it, as you suggest,
4 Ron Shank, setting the limit at 0.1 percent, and
5 then we'll see where the Belsito -- 0.4 percent, I
6 mean -- and see what the Belsito team has to say.
7 And we can have that discussion tomorrow. I was,
8 I could go either way, to tell you the truth,
9 because chamomile hasn't come up in my clinical
10 experience as a big sensitizer, and it's in lots
11 of botanicals now. Lots, what was it, the use was
12 like 700, or something? It was a lot. So I'll
13 propose that limit tomorrow. I think the
14 important thing is, we're moving forward with a
15 tentative report safe, and then the discussion,
16 perhaps, will revolve around the we set a limit of
17 0.4 percent, which is the HRIPT results in Wave 2.
18 Monice?

19 MS. FIUME: I just want to ask for
20 clarification so I can report back to Wilbur. The
21 whole azulene started because of wording in the
22 discussion? Do you have any suggestions for him

1 on the wording of that first paragraph of the
2 discussion? Because that's, I think, what started
3 it.

4 DR. MARKS: So, again, that's page 39 in
5 the PDF.

6 DR. HILL: I think you follow with a
7 sentence that says, well, now we're setting a
8 limit, but in the arts of use, the concentrations
9 are low. I mean, 0.4 percent would be low. If
10 you then look at the percentage of azulene that's
11 in the, what you're putting in there, so it would
12 be whatever modest percentage of it is azulene of
13 the 0.4 percent you're putting in the product, so
14 you just say the concentrations of use are low.
15 Azulene would be kept well below the threshold of
16 any toxicological concern. That's true of any of
17 the ingredients in there that have potential
18 toxicological issues.

19 DR. MARKS: Yeah.

20 DR. HILL: If you were proposing to uses
21 at 40 percent, we might look again at if it's 40
22 percent and we smear it over our entire body, what

1 would be the potential for systemic tox. But
2 that's not the case here, and that's my point.
3 And that, regardless of grass, because, I mean,
4 the PDR for herbals says don't use it if you're
5 pregnant, so there's something. This is one,
6 right?

7 MS. WEINTRAUB: No, that's rosemary.

8 DR. HILL: Okay. Sorry. But this is
9 the one with bazabalol, right? And so we have
10 reduced numbers of fetuses, and so forth. I mean,
11 it isn't written in here, but potentially, we have
12 the same concern.

13 DR. MARKS: Well, I think the last
14 sentence of that first paragraph is fine, the
15 components are present below the threshold of
16 toxicologic concern. For me, the contradiction
17 was you have a component which you previously said
18 there was insufficient data that support the
19 safety. How, then, can you say it's below the
20 toxicologic concern? Ron Shank, did you have
21 that, or is that just me, looking at the way this
22 paragraph was constructed? To me, there was a

1 contradiction.

2 DR. SHANK: I don't see a contradiction.

3 DR. MARKS: Okay.

4 DR. SHANK: I've always been tentative
5 about saying everything's fine, so long as there's
6 no toxicological concern. That, to me, is a
7 dodge. In the context of this particular
8 paragraph, it's okay, but --

9 DR. MARKS: Okay. So you didn't like
10 the last sentence, particularly?

11 DR. SHANK: Not particularly.

12 DR. MARKS: So how would you rephrase
13 that, or would you leave that sentence out? I'll
14 let you think about it, and you can give Wilbur
15 feedback.

16 DR. SHANK: Well, a possible alternative
17 would be to delete that sentence and just say the
18 levels of these toxic components in cosmetic
19 ingredients is sufficiently low to be not of
20 toxicological concern.

21 DR. MARKS: Okay.

22 DR. SHANK: It's a little bit different.

1 DR. MARKS: Right. I think that's what
2 you said, Tom.

3 DR. SLAGA: It's in the extract and not
4 the pure compound. The pure compounds are a
5 concern, but not (inaudible).

6 DR. HILL: If it's there, it's there. I
7 mean, we can suggest that one compound might be
8 antagonizing the effects of the other, because we
9 were about to come back to azulene. Do we not
10 have some information that tells us if this much
11 gets into the system, we have a problem, and if
12 it's below that, it's fine? We have no toxicology
13 on azulene? Because then we do have a problem,
14 we're missing something.

15 DR. MARKS: Well, we're referring back
16 to that, our previous conclusion of insufficient
17 -- but I think the way you state it, Ron, and you
18 implied, Tom, is the way to go with that
19 paragraph, that last sentence. So, Monice, if you
20 would give that feedback for Wilbur, and we're
21 going to see this again, obviously, this is a
22 tentative report, and the counsel can weigh in,

1 also, on this. Okay. Any other comments?
2 Tomorrow I'm going to move that a tentative report
3 be issued with a conclusion of safe up to 0.4
4 percent for the Chamomilla Recutita, or however
5 that's pronounced -- who had Latin?

6 DR. BERGFELD: I did, but it was too
7 many years ago. (Laughter)

8 DR. MARKS: I hesitate to use the common
9 German chamomile, but I may resort to that
10 tomorrow, just so there's a Latin linguist in the
11 audience. Unfortunately, Belsito is going to be
12 coming after, I'll ask him. Okay. So safe up to
13 0.4 percent, and we'll massage the discussion,
14 we'll see the next rendition in the tentative
15 report. And, in the second half, Anthemis
16 Nobilis. So, let me see --

17 DR. BERGFELD: (Inaudible) concentration
18 in this one, because they were together.

19 DR. MARKS: So this is the second time
20 seeing this report, we now have the Roman
21 chamomile split out, we issued a tentative, the
22 oil is safe, insufficient for other ingredients.

1 We wanted composition, we wanted the HRIPT for the
2 floral extract, we got that in Wave 2, 3 percent
3 HRIPT of the flower water. HRIPT at 3 percent,
4 flower water was 4 percent in a leave on, 10
5 percent in a rinse-off, so I still thought that's
6 probably going to be okay. It's used in baby's,
7 also there's inhalation. So, comments, Rons, Tom?

8 DR. SHANK: I had --

9 DR. MARKS: I think it was Don that
10 wanted a composition. But, at any rate, we still
11 didn't get the composition, right?

12 SPEAKER: Here it is, Table 3.

13 DR. SHANK: I had we still needed HRIPT
14 on the water extract at 10 percent.

15 DR. MARKS: Percent.

16 DR. BERGFELD: Doctor?

17 DR. SHANK: Did we get that?

18 DR. BERGFELD: No, and you will not.

19 DR. SHANK: And we will not?

20 DR. BERGFELD: No.

21 DR. SLAGA: I said we need that, too,
22 but they have sufficient for the oil.

1 DR. BERGFELD: There's no change in the
2 concentration, Carol, this one because it was
3 split off from the other.

4 MS. EISENMANN: No, that one, there is
5 no change with the water, and I haven't been able
6 to get the data on it, but there's still a
7 possibility that I could get lower concentrations
8 on the extract. I have to keep going lower to see
9 if I can rattle up some data, but the water, I'm
10 not going to get any data on it. So you could go
11 insufficient, safe for the oil and insufficient
12 for the other two.

13 DR. MARKS: Okay. So we still need the
14 composition, correct?

15 DR. SLAGA: Uh-huh. Well, I doubt if we
16 get it.

17 DR. MARKS: So if we -- how do you feel,
18 Ron and Tom, in terms of composition? Should we
19 have, now, an insufficient, a tentative report
20 with an insufficient data (inaudible) composition?

21 DR. SLAGA: To me, if we have the one
22 thing that Ron wanted, we wouldn't need the

1 composition because we could say that the oil is
2 already sufficient data, and if we have that for
3 the extract, then I think it's sufficient without
4 composition.

5 DR. MARKS: Ron Shank?

6 DR. SHANK: I'm still trying to find
7 just what are grass, so just as the spice is
8 grass, so I guess that's the whole thing. So,
9 again, we're down to skin data, and the oil/skin
10 data, the skin data on oil seems to be okay, but
11 not on the water extract.

12 DR. SLAGA: Yeah.

13 DR. SHANK: So we could split it, the
14 oil is safe as used and the water extract is
15 insufficient with a need for human sensitization
16 data if you use concentration.

17 DR. MARKS: How about the flower powder
18 and the flower extract? We don't have data on
19 that. Let's see, the flower extract, we got 3
20 percent HRIPT was okay. Flower -- so, Ron Hill,
21 what we were discussing earlier is, we have the
22 composition for the oil. Do we want to move

1 forward with a tentative report that the oil is
2 safe and the others are insufficient?

3 DR. HILL: That's what I was expecting
4 to see.

5 DR. BERGFELD: For a non chemist, what's
6 the difference between the oil and the water in
7 penetration? I would think the oil would
8 penetrate easier.

9 DR. HILL: Yes, I would expect
10 components of the oil to be more penetrable but to
11 be different, so I just, this is a case where I
12 think, personally, the absence of data isn't the
13 same as data that shows the absence of effect,
14 that's all. I mean, it would be sensitization
15 that actually, to me, would be about the only
16 concern in this case. And if that's not a concern
17 with these guys, then --

18 DR. MARKS: No, I think that's exactly
19 right. We do have the flower extract up to 3
20 percent, we could put a limit on flower extract,
21 if we wanted to. Oil is safe, we could say the
22 others are insufficient and need for

1 sensitization, or we could say the flower extract
2 up to 3 percent -- what was the use concentration
3 of flower extract?

4 DR. BRESLAWEK: I think it was 0.1
5 percent.

6 DR. MARKS: 0.1, okay. So then that
7 would mean the flower extract should be okay, am I
8 interpreting that correctly? I think that's what
9 I had, here, the extract was okay, but we don't
10 have composition, so is that necessary for the
11 flower extract?

12 DR. HILL: I was sort of assuming that
13 Dr. Belsito was wanting that to assess the
14 possibility of additive effects when this is used
15 with other botanicals in the same formulations.
16 And so, without that compositional ingredient, he
17 could -- I don't know, I highlighted a bunch of
18 stuff on the transcript, I could have a look, but
19 that was my general sense is that enough
20 information to know the additivity issue.

21 DR. BERGFELD: I have another question
22 on the penetration. If it's in water, it's

1 trapped in the stratum corneum, so all of your
2 chemical ingredients articles would be at the
3 outer layer of the skin. The oil would penetrate
4 supposedly a little deeper, so the affects of
5 absorption, you would think, would be much less
6 with the water, if it is the same plant part.

7 DR. HILL: I --

8 DR. BERGFELD: That's a different
9 vehicle.

10 DR. HILL: Well, because you're going to
11 get, with that water extract, you're going to get
12 a different array of components, and the sorts of
13 things that would be penetrable should be there at
14 much lesser concentrations, probably even
15 negligible. So I think it's a matter of what's
16 going to be present in what amounts based on
17 having oil versus having water extract. And then,
18 yes, in general, I think the things that would
19 show up in the water extract --

20 MS. EISENMANN: What -- water, I mean,
21 it's not a water extract, it's when you --

22 DR. HILL: I know --

1 MS. EISENMANN: -- fill it. I mean, it
2 can be a water extract, but when, in key names,
3 things that are named as water is part of
4 distillation process, and, again, you might know
5 better once you take off the oil soluble part or,
6 and then the water part comes up. I mean, it's
7 all in that distillation process.

8 DR. HILL: All right, okay. So you do a
9 steam distillation --

10 MS. EISENMANN: So it's not an extract
11 -- right --

12 DR. HILL: This was probably the second
13 organic lab I did in college, and you get oils,
14 but then, yes, they come to where you can easily
15 remove the oils and you're left with whatever's
16 there in the water, and that's what I'm assuming
17 we're assessing, here.

18 MS. EISENMANN: Right, right. And so
19 it's not water --

20 DR. HILL: That's --

21 MS. EISENMANN: -- I mean, than the
22 other extract, if you put some plant material and

1 put water in it and stir it around --

2 DR. HILL: I misstated --

3 MS. EISENMANN: -- that's a water
4 extract.

5 DR. HILL: I misstated when I said water
6 extract, but effectively, that's what you get,
7 because once you do a steam distillation, cool
8 everything down, then you have oil and you're
9 going pull that off and you'll have whatever stays
10 in the water, which would be why it would be
11 awfully nice to have some composition database I
12 think that would come out loud and clear, and we
13 don't have that. But --

14 DR. MARKS: So let's get back, do we
15 need the composition? We have it for oil, we
16 don't have it for the flower, for the water, for
17 the flower extract, the water. And then what was
18 the last one, it was powder.

19 DR. HILL: And I'm putting words in
20 Don's mouth that shouldn't be put --

21 DR. MARKS: Well, we'll find out what
22 Don has to say tomorrow. What I want to get

1 straight is --

2 DR. HILL: I just --

3 DR. MARKS: -- what our team is saying.

4 DR. HILL: I was just going by a sense
5 of what I read in the transcripts from the other
6 group.

7 DR. MARKS: So, do we need composition?

8 DR. SLAGA: No.

9 DR. MARKS: No, okay. So we can move
10 forward without composition. I have the oil and
11 the flower extract safe, water and the powder
12 insufficient --

13 DR. SHANK: Yes.

14 DR. MARKS: -- based on sensitization.

15 DR. SLAGA: Right.

16 DR. MARKS: Water and powder
17 insufficient, need sensitization. Okay. So we'll
18 see how this works tomorrow, but we'll be issuing
19 a suspect tentative report, and at least our team
20 feels the report's conclusion is going to be the
21 oil and flower extract safe, the water and powder
22 insufficient, and the insufficiency that's the

1 need for sensitization data. Any other comments?
2 There was, did -- Rons and Tom in the Wilbur's
3 one, two, three, four -- one, two, third paragraph
4 in Wilbur's memo, the August 16th memo, panel
5 needs to consider whether these studies should
6 remain in the report. And that was the trade case
7 reports on pages 5 and 6 and two epidemiological
8 studies relating to reproductive and developmental
9 toxicity page 7 in his memo. How did you feel,
10 did you want to keep these studies in or delete
11 those?

12 DR. SHANK: Which page is this?

13 DR. MARKS: Wilbur, do you want to --
14 I'm looking at Wilbur's memo dated August 16th
15 right in the beginning, and it's -- are the pages
16 the PDF pages, or is it the pages of --

17 MR. JOHNSON: No, they aren't, I'll
18 check from here.

19 DR. MARKS: So it's page 5, 6 and 7. I
20 assume that's under the repro and development,
21 there were two cases, and you said two
22 epidemiologic studies were all done to repro and

1 development. And you were wondering -- what was
2 the question, why were you wondering whether they
3 should remain in, Wilbur?

4 MR. JOHNSON: Because we didn't know
5 whether or not they were Anthemis Nobilis or
6 Chamomilla Recutita derived, that's the reason
7 why.

8 DR. MARKS: Okay, now I understand.

9 DR. HILL: To me, if you don't know the
10 source, at most you leave them in at a footnote of
11 some sort.

12 DR. MARKS: I guess it gets back to,
13 even if you leave them in, it did not --

14 DR. SLAGA: The report separate the
15 three, you can't interpret the data, right?

16 DR. MARKS: That's what I would think.
17 But it gets back with these, does it raise any
18 toxicological concern for repro and development.
19 I know, I can't overlook Wilbur's --

20 MR. JOHNSON: And that's starting on
21 page 24 on the case reports.

22 DR. MARKS: Is that in the separated, is

1 that also in the German chamomile report, these
2 three cases and the two epidemiological studies?

3 MR. JOHNSON: Yes.

4 DR. MARKS: Yes. So we left it in that,
5 okay, we leave it in since we can't separate it.
6 Again, Ron and Ron, does this change or influence
7 your conclusion at all?

8 DR. HILL: I don't think we had the
9 discussion whether we left it in the chamomile
10 report, did we? We just skipped right over that.

11 DR. MARKS: That's why I asked Wilbur
12 that, because I think it's very important, if we
13 leave it in, do we have to -- it seems to me we
14 have to at least mention it in the discussion and
15 explain why this is not of concern.

16 DR. HILL: What I would like to see in
17 both of them is, this is my, I'm just tossing this
18 out there, is have it summarized in a table, much
19 the same way some of our other tox summaries show
20 up, and then reference in the discussion, at most,
21 or else we just take it out. I mean, which I'm
22 fine with, I think we've lost some data, we don't

1 know the source. We've lost some data if we just
2 totally take it out, but yet, if you keep it in
3 chamomile, you don't know if it's contributing to
4 that, because you don't know if it's been done
5 with the Recutita. If you keep it in the other
6 one, you don't know if it's contributing to that
7 assessment because you don't know if it's been
8 done with Nobilis.

9 DR. MARKS: I've got to say, I'll ask
10 Rachel, but I don't like the idea of leaving it
11 out, I think it has to be addressed. And we can
12 say we don't know which one of the chamomiles,
13 whether it's German or Roman, but it gets back to,
14 this, to me, is a hazard alert, how do we deal
15 with this.

16 MS. WEINTRAUB: I agree, I think that's
17 a better way to address it.

18 MS. EISENMANN: For the epidemiology
19 side, I believe most commercial chamomile teas, if
20 that's what they were drinking, are German, I
21 don't think that make much of the Roman chamomile,
22 I think it's German that's sold as the tea. So I

1 think it's more likely to be German than Roman,
2 but I can't say that for sure, I haven't actually
3 looked at the studies.

4 DR. MARKS: So what would we -- I mean,
5 we've gotten to the point of saying safe, we've
6 set some limits on sensitization, but going back,
7 there's, presumably, there were no other alerts,
8 as far as repro and development, so can we --

9 DR. HILL: Well, let me back up one
10 step, then, because -- I'm sorry, I tend to make
11 the mistake of over relying on the data table that
12 you guys put at the beginning of the reports,
13 because sometimes those don't get updated when we
14 have additional data come in. But just looking at
15 the data table, and you're familiar with this, so
16 you could -- it's on page 4 of the PDF -- there's
17 no chronic tox on any of the Anthemis Nobilis
18 ingredients reported in here, there's no repro
19 developmental toxicity on any of the Anthemis
20 Nobilis ingredients listed in here. We have a
21 genotox result on the flower oil, and that's all.
22 Actually, we don't have any acute tox except for

1 the flower oil, oral and dermal, but that's one of
2 the reasons we'd like to have the composition,
3 because then you at least have a better chance of
4 thinking about read-across. Without that, you
5 have zero chance, from where I sit.

6 DR. MARKS: Ron Shank, I think he made
7 the comment earlier, well, this is grass. So even
8 though these things are reported, it's a grass.

9 DR. SHANK: Correct.

10 DR. MARKS: So --

11 DR. SHANK: So this focuses on skin, not
12 systemic toxicity.

13 DR. MARKS: How should Wilbur
14 incorporate that in there, then? These studies
15 should remain, should we just say it's a grass
16 ingredient and it's not relevant? And that's for
17 you, I know Ron Hill has a little different take
18 about the skin exposure, but any rate, I think
19 that's why we went right over the systemic tox,
20 because it's a grass.

21 DR. HILL: We didn't know if Anthemis
22 Nobilis was grass, I thought that was what was

1 captured in the transcript.

2 DR. SHANK: It says it's grass now in
3 the use part.

4 DR. HILL: Okay.

5 DR. SHANK: As far as case reports --

6 DR. HILL: Okay, they are, they both
7 are.

8 DR. SHANK: To me, they're not helpful
9 at all.

10 DR. MARKS: Yeah, your approach is the
11 same as --

12 DR. SHANK: So, if you want to be
13 inclusive, put them in, sometimes they're
14 entertaining reading, but they certainly don't
15 help me as a toxicologist. Because you really
16 don't know what the case is, what else they were
17 exposed to, a single person responding to whatever
18 just doesn't help me. I have no objection to
19 taking them out --

20 DR. MARKS: Interesting.

21 DR. SHANK: The only reason to include
22 them is to be inclusive and show that we have

1 looked at all the data.

2 DR. MARKS: How about the
3 epidemiological studies? Well, I could let you
4 off the hook on the case studies, I agree with
5 you.

6 DR. SHANK: Oh, dear, I was hoping --
7 well, again, in most cases -- well, the
8 epidemiology here did not help me, either.

9 DR. MARKS: Okay. And you would leave
10 them out, Ron Shank.

11 DR. SHANK: As I said, it makes no
12 difference to me, really.

13 DR. MARKS: Okay.

14 DR. SHANK: I don't object to them being
15 in.

16 DR. MARKS: Perhaps this --

17 DR. SHANK: And it does show that we are
18 aware of the literature, but it didn't help me.

19 DR. MARKS: And would you -- it didn't
20 help you because? Again, these are grass
21 substances, and --

22 DR. SHANK: Well, that, for sure, and

1 then these case, someone developed rhinitis when
2 smelling this.

3 DR. MARKS: Okay. Does that give you
4 some direction, Wilbur? We're going to see this,
5 we'll see this gun, and, Wilbur, don't hesitate to
6 bring that up again in a memo. So, tomorrow, let
7 me see, am I the one -- no, this is Belsito. So a
8 tentative report, as you know, I have no
9 hesitation in representing our team, so, tomorrow,
10 I'm going to perhaps second a tentative report
11 with a conclusion --

12 DR. SLAGA: Perhaps?

13 DR. MARKS: Well, I haven't seen the
14 motion yet, so that's why I say perhaps. A motion
15 that the oil and flower extracts are safe, that
16 the water and powder are insufficient because of
17 the need for sensitization data use concentration.
18 Any other comments -- and, Wilbur, we'll let you
19 deal with the case reports and the epidemiologic
20 studies based on what Dr. Shank has said here.
21 Any other comments? Next one is formic acid.
22 So, in the June 2012, I'll highlight the year, so

1 it wasn't last June, it was the June before the
2 last June, we reopened these ingredients, the
3 formic acid, and now we're at the stage of showing
4 a tentative amended report on formic acid and
5 sodium formate, safe when formulated to be
6 nonirritated, and then we need the skin, and
7 particularly the respiratory concerns on
8 irritation in the discussion. So we added sodium
9 formate, there was a new function as a
10 preservative, also as a fragrance, but we don't
11 deal with fragrances in our evaluations. So, Ron,
12 Tom, does that sound like a reasonable move
13 forward with a tentative amended report with a
14 safe when formulated to be nonirritating and
15 handle the skin and respiratory issues in the
16 discussion?

17 DR. SHANK: Yes.

18 DR. SLAGA: Same here, yes.

19 DR. HILL: Yes.

20 DR. MARKS: Okay. Any other comments?

21 Okay. Again, presumably, I will be selecting a
22 motion to that effect. Next, butylcarbamate,

1 rereview.

2 DR. HILL: Is that in the admin book, I
3 don't see it.

4 DR. MARKS: Is that the admin?

5 DR. HILL: I think so.

6 DR. MARKS: Should be. Yes, that's
7 under the rereview --

8 DR. HILL: No, that's a separate file.

9 DR. MARKS: Yes, it is.

10 Butylcarbamate --

11 DR. HILL: (off mic)

12 DR. MARKS: Yeah, the rereviews are in
13 the admin, you're right. I have a lot of notes,
14 here. So, in 1996, the panel issued a final
15 safety assessment, iodopropynyl butylcarbamate
16 safe as a cosmetic ingredient, less than 0.1
17 percent, should not be used in products intended
18 to be aerosolized. The second paragraph of
19 Wilbur's memo summarizes the European Union's
20 recommendations, which are significantly
21 different.

22 DR. SLAGA: How did they come up with

1 those numbers?

2 DR. MARKS: Well, that's what, based on
3 iodine -- it says in, I have in Wave 2 --

4 DR. SLAGA: In the past report?

5 DR. MARKS: Iodine levels, correct?

6 MR. JOHNSON: There's a panel report
7 that was included in Wave 2, but then, you know,
8 just based upon the other team's review, it
9 doesn't seem to be a sufficient basis for some of
10 the limitations that are being, you know,
11 proposed, here. But it's that, supposedly, it's
12 based upon that particular document in Wave 2.

13 MS. EISENMANN: Based on iodine
14 exposure?

15 MR. JOHNSON: Yeah, uh-huh.

16 MS. EISENMANN: Is there a concern with
17 iodine exposure, or was it not considered at all?

18 DR. MARKS: In our original?

19 MS. EISENMANN: Correct, right. I mean,
20 they're saying it should only be a certain
21 percentage of the daily intake, the amount of
22 iodine that is in cosmetics.

1 DR. SHANK: This is not iodine, and this
2 compound is not likely to be dehalogenated in
3 formulation or in use, so I don't see this as an
4 issue at all. It's a matter of reopening, is it
5 not, and I don't think --

6 DR. MARKS: Yes.

7 DR. SHANK: I don't think we need to
8 reopen it. And, if necessary, in the rereview
9 summary, you can mention the European concern.

10 DR. MARKS: So --

11 DR. SHANK: And our response is that
12 this is not a source of iodine or iodide ion. And
13 then the quantitative argument is based on rat,
14 and rat is a very poor model for thyroid in, for a
15 human.

16 DR. MARKS: So not reopen. David, so we
17 have a guest, come find a microphone and identify
18 yourself, David.

19 DR. STEINBERG: David Steinberg. Ron,
20 just one comment; iodopropynyl butylcarbamate will
21 hydrolyze and release the iodine molecule at pHs
22 above 7. It's a very slow, it's temperature, pH,

1 time dependent, and you notice it immediately
2 because you get the characteristic iodine color,
3 which is why we don't use it. Its principal use,
4 it is purely an antifungal preservative, and it is
5 used principally because of its action, and that's
6 in water. So it's used in emulsions, creams,
7 lotions, cleansing products, things like this, and
8 you've just got to keep the pH below 7, or else
9 you're going to fail your stability testing
10 because your product will turn yellow or purplish.
11 So it does hydrolyze, it can release that iodine
12 molecule, but we just don't use it in that type of
13 action.

14 DR. SHANK: Thank you. Is it antifungal
15 because of iodide ion?

16 DR. STEINBERG: No.

17 DR. SHANK: Okay.

18 DR. STEINBERG: No, it's -- actually, if
19 you remove the iodine completely and just started
20 with the intermediate, it's strongly antifungal,
21 but it's also strongly irritating, so the iodine
22 calms the molecule down.

1 DR. MARKS: So let's, David, don't leave
2 quite yet.

3 DR. STEINBERG: Okay.

4 DR. MARKS: I want you to address,
5 because if we do not reopen, then there needs to
6 be a robust discussion about the use concern about
7 iodine and our lack of concern. And so I hear Ron
8 talking about it, it's, iodine doesn't, isn't
9 released by the compound, but that's in the caveat
10 if it's below 7, the pH, it isn't.

11 DR. STEINBERG: Basically, we use it,
12 I've seen it in formulations as high as, like,
13 7.2, 7.5, but then as soon as you put it in
14 accelerated ageing testing at 40 degrees Celsius,
15 you start getting that yellow color, and that's
16 not very popular with consumers. So if you use,
17 you're keeping the pH below 7, and it is not the
18 release of the iodine which has anything to do
19 with its antifungal properties. It's been -- the
20 molecule was invented around 1950, '52, used
21 almost exclusively in the industrial marketplace
22 for paint as an antifungal agent. It was in the

1 late '80s that we came up with a refined grade for
2 cosmetic use. It was originally offered as a
3 cocktail, a mixture, that's one of the reasons why
4 the levels are higher, because of the cocktail
5 mixture was a 95/5 ratio, 95 percent of an
6 antibacterial agent, 5 percent of the IPBC.

7 It's overkill, its maximum solubility in
8 water has been reported to be anywhere between 150
9 and 175 parts per million. If you exceed that 175
10 parts per million, you do not get any better
11 antifungal activity, so it's a waste of money to
12 go up to 0.05, when 0.02 is about the max it's
13 going to work.

14 DR. SHANK: Thank you.

15 DR. MARKS: So you're, Ron Shank, still,
16 do not reopen and incorporate your discussant
17 points. And, David's, the two to address the EU
18 limits. Because we --

19 DR. STEINBERG: The EU has dealt or has
20 -- this came up in the EU principally through
21 Denmark, and this goes back probably, I'm going to
22 say 12, 13 years ago, when they were concerned

1 with exposure to iodine in any way, shape or form.
2 So they banned sodium iodate, for example, which
3 no one ever used as a preservative anyhow. They
4 questioned the colors that hide iodine in them,
5 they questioned this and came up with their
6 reports based on ingestion of iodine. It doesn't
7 apply, we don't use this in lipsticks, lipsticks
8 don't need an antifungal agent.

9 DR. MARKS: It's interesting, because
10 they specifically ban it on lipsticks, oral
11 hygiene and lip care products. I don't know if
12 lipstick is called a lip care product, but any
13 rate --

14 DR. STEINBERG: But they're anhydrous,
15 they don't use water based products for that
16 purpose.

17 DR. MARKS: Okay. And then, how about
18 the, so it is, the uses have gone up dramatically
19 since it was, since 1996, from 122 to 942 in the
20 present report. It was used in baby products, the
21 EU, not to be used for children under 3 years of
22 age. Again, this is the iodine concern?

1 DR. STEINBERG: That was what was the
2 concern back 13, 14, years ago when this came up.
3 Its increased use in the United States is because
4 of the decreased use of parabens, it's the best
5 antifungal replacement, and if you're going to be
6 without parabens because of marketing concerns,
7 we'll put it that way, as opposed to scientific
8 concern. Where do you turn to? And the two
9 principal antifungal agents that we have available
10 to us are the IPBC and ascorbic acid, which is why
11 you saw dramatic growth. And you will continue to
12 see the growth in the United States because of the
13 diminished use of parabens.

14 DR. MARKS: Any other comments about
15 that?

16 DR. SHANK: We have, in the original
17 report, chronic oral exposure in experimental
18 animals, and there was no reported thyroid effect,
19 even in rodents, which are much more sensitive to
20 iodine levels. And, in this country, we use
21 iodate as a nutritional supplement, so I don't
22 think this is an issue.

1 DR. MARKS: Okay.

2 DR. STEINBERG: Remember the ingestion
3 studies, I think, go back to its use in paint, and
4 they were always concerned with children eating
5 paint.

6 DR. MARKS: Okay. Ron Shank and David
7 Steinberg. Okay, so it seems like the EU
8 restrictions that are mentioned in paragraph 2,
9 we've addressed those, there's no reason to reopen
10 it because of concern with iodine toxicity.
11 Interestingly, when I went back and looked at the
12 original report, although I don't think it needs
13 to be changed, is that the limit of 0.1 percent
14 was really based on a comedone assay, not on
15 sensitization. And that was, actually, Don
16 Belsito was concerned about that when you read the
17 minutes, but it's not being used, its maximum is
18 0.05 percent on leave ons, so it's way below, so
19 that doesn't seem to be an issue. So don't
20 reopen, Ron Hill, Tom?

21 DR. HILL: (Nodding)

22 DR. SLAGA: I agree.

1 DR. MARKS: Okay. And, Wilbur, you
2 got --

3 MR. JOHNSON: We need to capture all of
4 that in the discussion.

5 DR. MARKS: Yes.

6 DR. HILL: I have a question, it's a
7 dermatology question, I guess. There was a
8 statement in here that said continued, it was a
9 publication by Adreshe and Marks, was that you?

10 DR. MARKS: What year --

11 DR. HILL: I've got a 2002 paper that
12 continued surveillance would be prudent with
13 increased use. The uses clearly have increased,
14 are we -- I mean, we're not seeing any increased
15 sense -- I assume not --

16 DR. MARKS: Right. That's from, and
17 that was in here, the North American Group Data,
18 so, yes. So that's what we're doing right this
19 morning, is continuing surveillance, and it hasn't
20 raised any issues since --

21 DR. HILL: We haven't seen issues
22 come --

1 DR. MARKS: When I wrote that article,
2 yes. Thank you, Ron, for bringing that up.
3 (Laughter).

4 DR. BERGFELD: Has it hit the top ten in
5 your list?

6 DR. MARKS: I don't believe so.

7 DR. HILL: That's what I was driving at
8 is, have the incidences increased in the ensuing
9 ten years.

10 DR. MARKS: There may have been, I'd
11 have to go back. I didn't put that page --

12 DR. BERGFELD: Well when you searched,
13 you searched in (inaudible) --

14 DR. MARKS: Oh, yeah.

15 DR. BERGFELD: -- annual reviews of the
16 contact sensitize.

17 MR. JOHNSON: Uh-huh, yes.

18 DR. MARKS: I think, if I remember one
19 of these, there was a statistical increase, but
20 the frequency of reaction was still low compared
21 to things like Quaternium. Okay, so, not reopen.

22 DR. HILL: My, this is going to go out,

1 right? This is going to go out, I mean, this
2 rereview will go out and will be published, or --

3 DR. MARKS: Yeah. Remember, Ron, what
4 we do is, tomorrow, we'll come to a conclusion
5 whether to reopen or not reopen. If we don't
6 reopen, then there's a discussant, and we
7 actually, that's why we had the rereview summaries
8 are in the administrative folder --

9 DR. HILL: Okay, that's what I thought
10 we were doing here, so that's why I asked the
11 question about has it gone up in the ensuing ten
12 years, because, sort of, as a reader, that
13 statement sort of leaves you hanging. Continued
14 surveillance was suggested, but then we never did
15 find out has it gone up or not.

16 DR. MARKS: Not reopen. And, to my --
17 no, if it's gone up, it's been minimal, nothing
18 alarming.

19 DR. HILL: It's like reading a novel,
20 but having to stop right before, you know,
21 everything is resolved. That's what I'm getting
22 at.

1 DR. MARKS: Okay. It is 10 of, shall we
2 do the rereview summaries in the administrative
3 section?

4 (Chorus of ayes)

5 DR. MARKS: Okay. So, tomorrow, I will
6 move that this not be reopened, and that, in the
7 discussant points, we'll talk about iodine and why
8 we're not concerned about it. Okay, admin. And,
9 under page 38 of the Admin Section, the rereview
10 summaries of polyvinylpyrrolidone and retinol and
11 retinyl palmitate. Any editorial comments, Ron,
12 Ron or Tom?

13 DR. SLAGA: Not here.

14 DR. MARKS: Okay. Wilbur, it looks
15 like --

16 MS. WEINTRAUB: I had one.

17 DR. MARKS: Yes, Rachel?

18 MS. WEINTRAUB: There's a minor typo on
19 Footnote 80 for retinol and retinyl.

20 DR. HILL: I thought we were still on, I
21 thought we were just still talking about PVP.

22 DR. MARKS: No, I put them together. So

1 Rachel's first --

2 DR. HILL: That's fine, I'm good with
3 that. I thought we were strictly on PVP at this
4 point.

5 DR. MARKS: Yeah, we're doing, since it
6 seems like there were only footnote corrections --

7 MS. WEINTRAUB: Which one is it,
8 Footnote 80 --

9 DR. MARKS: -- so, do you have that,
10 Wilbur?

11 MR. JOHNSON: Reference No. 80?

12 MS. WEINTRAUB: Yeah, there were two
13 periods after the name of the publication.

14 MR. JOHNSON: I got it, thank you.

15 DR. SHANK: What's the PDF page, please?

16 DR. MARKS: Any other comments? It
17 sounds like we need to leave.

18 (Interruption)

19 DR. MARKS: Okay. We are going to start
20 shortly, when Dr. Bergfeld arrives, or else we can
21 start -- there she is. See, I knew she would
22 arrive as soon as -- take your time, Wilma. So

1 the first ingredient I have from after this
2 morning is the isethionates, and at the June
3 meeting, we issued a conclusion that it was safe
4 when formulated to be nonirritating. We're at the
5 point now of issuing a final amended safety
6 assessment, are there any comments in the
7 discussion, any concerns with a conclusion safe
8 when formulated to be nonirritating.

9 SPEAKER: I think the report is good as
10 it is.

11 DR. MARKS: Okay. So, Monice, you have
12 transformed into Christina now.

13 MS. FIUME: I am now Christina.

14 DR. MARKS: Okay. At least we have the
15 same gender, we don't have to -- go ahead, Ron.

16 DR. HILL: I did have a general comment,
17 it wasn't just pertaining to this one, because I
18 encountered it somewhere else. On the last full
19 paragraph of the discussion, let's see, it's an
20 inhalation, it says, panel believes that the
21 assays is blah, blah, blah, larger than the risk
22 viable range and/or aggregate or agglomerate to

1 form larger particles. I can see how that might
2 apply to a powder or a spray powder like a
3 deodorant, for example, that's squirting fine
4 powder out, I'm not sure how we think aggregate or
5 agglomerate applies to liquids. I wish Ivan were
6 here, because he is the guru on that, so we don't
7 need to discuss it right now, but I'm just tossing
8 that out there.

9 DR. MARKS: Okay. Any other comments?
10 So, Monice, you'll -- thank you, Christina, very
11 nice report.

12 MS. FIUME: Thank you. (Inaudible)
13 interesting to see what day two was, see if there
14 were any issues in that. I don't think so.

15 DR. MARKS: I didn't have any --

16 MS. FIUME: I thought there was a Wave 2
17 on this, I was just trying to quickly --

18 MS. EISENMANN: There is, it was a
19 correction to the use of baby products, it was not
20 a powder, it's a wipe, and the concentrations were
21 just a --

22 MS. FIUME: Thank you, Carol.

1 DR. MARKS: Okay. So, presumably, I'll
2 be seconding a motion with a safe, nonirritating
3 conclusion. This will be issued as a final
4 amended. Next is the alkylamides. So, what we
5 have in June, an insufficient data announcement
6 was issued, and the data needs are listed in
7 Christina's memo, dermal irritation sensitization
8 for two-lead comments, or two-lead ingredients, I
9 should say, for Lauroyl Lysine and Sodium Lauroyl
10 Glutamate.

11 DR. HILL: Can we start with the
12 category is named wrong and fix it?

13 DR. MARKS: Well --

14 DR. HILL: I made strong comments last
15 time, but nothing changed, so I don't know if that
16 was just the administrative convenience or if I
17 was being blown off. I guess it doesn't matter,
18 practically.

19 DR. MARKS: Well, it does if you have a
20 concern for the --

21 DR. HILL: Yeah, but --

22 DR. MARKS: Are you talking about the

1 nomenclature?

2 DR. HILL: Amino acid alkylamides does
3 not describe these ingredients.

4 DR. MARKS: What was your suggested
5 change, because this is a tentative report, so --

6 DR. HILL: Right.

7 DR. MARKS: -- it certainly can be
8 changed easily at this stage. And let's see who
9 presents this tomorrow.

10 DR. HILL: I didn't write anything down
11 again, I had a note to go back and remind myself
12 what I said last time. So we don't need to do
13 that right now.

14 DR. MARKS: Well, just bring it up
15 tomorrow, there will be discussion tomorrow.

16 DR. HILL: Yes.

17 DR. MARKS: And Bart will be here. So,
18 Rons and Tom, my notes, issue tentative report
19 safe, formulate nonirritating, limit the sodium la
20 -- how do you say that -- lauroyl?

21 DR. STEINBERG: Lauroyl.

22 DR. MARKS: Lauroyl.

1 DR. HILL: Lauroyl, okay, glutamate to
2 2.5 percent possibly. I had mainly relevant to,
3 and as in the memo, it's to the skin issues.

4 MS. EISENMANN: In Wave 2, there's a,
5 you see the data in Wave 2, there's Guinea pig
6 sensitization studies and there's some HRIPT, and
7 there's one on the Lauroyl Lysine at 12.5 in 600
8 subjects.

9 DR. MARKS: Right. The Wave 2, the max
10 was 50 percent non sensitizing in Lauroyl Lysine,
11 so I had no problems with that. And then, for the
12 SLG, I'm going to say Wave 2, the max was okay at
13 2.5 percent, so that's why I said do we want to
14 limit that to 2.5. What I have Don's team, limit
15 question mark. Use in a leave on is 4 percent,
16 max, 40 percent in a rinse-off, so that's why I
17 felt set a limit for the SLG, the sodium lauroyl
18 glutamate. I'm going to have to practice that a
19 few times. How was that, Ron Hill?

20 DR. HILL: Lauroyl.

21 DR. MARKS: Lauroyl, okay. So, Rons,
22 Tom, what do you think about that, setting a --

1 move on to a tentative report with the Lauroyl
2 Lysine as safe, and a sodium lauroyl glutamate, a
3 max at 2.5 percent, or that concentration is safe,
4 since we have max data to support that
5 concentration. Carol, am I summarizing that
6 correctly? That's what I saw when I reviewed Wave
7 2. I can go about 2.5. You had mentioned some
8 other -- yeah. Does that sound --

9 MS. EISENMANN: But I'm wondering how
10 you're going to apply it to the rest of the
11 ingredients.

12 DR. HILL: Yeah, I was getting to that,
13 but I was waiting until everybody else --

14 DR. MARKS: I think we chose those as
15 the sort of lead. We oftentimes don't have
16 sensitization data for a number of ingredients
17 when you have them grouped together. That's, it
18 was actually the Belsito team who wanted to set
19 the limit for the sodium lauroyl glutamate.

20 DR. HILL: So none of those are grass
21 this time, right? I don't have to --

22 MS. EISENMANN: My other question is

1 what about rinse-off products for the sodium
2 lauroyl, SLG? That is used at higher levels.

3 DR. MARKS: Right, 40 percent, I think I
4 had noted; is that right, Carol? Up to 40
5 percent? That's the way I set a limit.

6 MS. EISENMANN: Yeah, yes, I think so.
7 And I've gotten some HRIPTs, but there are ones at
8 0.2 and ones at 3, but they're tested as
9 diluted --

10 DR. MARKS: Exactly.

11 MS. EISENMANN: The rinse-off, they're
12 tested diluted. So if you set a limit at, it
13 doesn't, your limit for leave on products only. I
14 mean, they're mostly used, this ingredient is
15 mostly used in rinse-offs.

16 DR. MARKS: Yeah, well, when I took 10
17 percent of 30 percent, that's 3 percent, which is
18 close to that 2.5 percent limit from the max
19 earlier, so that's why I just chose 2.5 percent.

20 MS. EISENMANN: So, it's okay at 30
21 percent in rinse-offs?

22 DR. MARKS: It should be. But, now, how

1 to word it to include that. Could put a limit on
2 the leave on, and the use as it is is okay --
3 well, 30 percent, as you say. So, let's get back
4 to Tom, I think you raised, or maybe Ron Hill,
5 read-across on this. And then you brought up, you
6 went back to, our main concern was really the skin
7 tox with these, non systemic; is that correct,
8 Ron, Ron, Tom?

9 DR. HILL: Well, as you recall, I had a
10 problem lumping the Nacetyl with these others for
11 exactly that reason. But, yes, I think that's
12 probably still applicable for those, as well.
13 Right. And, so, if you look at toxicology, I
14 don't have any information, nor was I able to find
15 any information that strongly suggested that these
16 amino acid long-chain^Â aceoeal compounds. I don't
17 have any information to suggest that they would be
18 cleaved to a parent amino acid than the long chain
19 fatty acid in the skin. Because amidases in the
20 skin are usually pretty specific, and I don't know
21 any compounds that look a lot like this
22 biochemically that would traffic that way. So

1 what (inaudible) will do is hang in the skin, and
2 I think it will get into the membrane.

3 So my concern is repeatedly, over long
4 periods of time, are we doing something odd in the
5 skin because of build up. We've only got
6 toxicology data on two amino acid, alpha amino
7 acid long-chain amides, and now we find out one of
8 them isn't even at the alpha nitrogen, it's at the
9 epsilon nitrogen of the lysines. So we've only
10 got one amino acid long chain amide, which is a
11 glutamate.

12 DR. MARKS: Uh-huh.

13 DR. HILL: So I made myself a list to
14 see what other amino acids were there, most of
15 them are there, there's various and sundry long
16 chain amides, I just have concern that we have no
17 data about what these things might or might not do
18 biochemically in the skin.

19 DR. MARKS: So let's get Ron and Tom,
20 Ron Shank, Tom. Again, do you have any concerns
21 other than the skin tox at this point? Because I
22 think we have to decide how we're going to handle

1 that. It wasn't raised in June of this year, in
2 that meeting.

3 DR. HILL: I think I raised it in
4 low-key fashion, I can go find the transcript,
5 but. But we did not have information that the
6 lysine -- I mean, it still doesn't cover a lot of
7 those other amino acids, but we did not have that
8 piece of information that's nepsilon rather than
9 nalpha amidated.

10 DR. MARKS: Ron Shank?

11 DR. SHANK: I can't find any concerns I
12 have with these compounds other than
13 sensitization. And we got the data for Lauroyl
14 Lysine, not for the glutamate. And I thought the
15 amides would be hydrolyzed, so if that's not the
16 case -- not amides, it's amides.

17 DR. HILL: That's a perfectly acceptable
18 way to say it.

19 DR. SHANK: Amides?

20 DR. HILL: Amides, amides.

21 DR. SHANK: Okay.

22 DR. SLAGA: I didn't have that concern,

1 just the original concern. I'm satisfied.

2 DR. MARKS: So, would you move forward,
3 then, we would issue a tentative report with safe
4 formulate the non irritating. That the, the only
5 limit we would put is sodium, this SLG, sodium
6 lauroyl glutamate, to 2.5 percent leave on and 30
7 percent on rinse-off.

8 DR. SLAGA: Yes.

9 DR. SHANK: The use table says 40
10 percent on my document, has that been reduced?

11 DR. MARKS: No, that's 40 percent is
12 what I have is the use.

13 DR. SHANK: The rinse-off.

14 DR. MARKS: But when you look at the
15 HRIPT, which Christina mentions in her memo, and
16 Carol points out, we have safe, we have a 30
17 percent concentration. But, again, that was
18 diluted. But that's, again, presumably diluted
19 the way it's going to actually be used.

20 DR. SHANK: I don't know --

21 DR. MARKS: So I'm kind of picking the
22 30 percent rate.

1 DR. SHANK: How do we get these
2 condensed use tables? We used to get a breakdown,
3 I know it's very hard --

4 MS. EISENMANN: I still do it.

5 DR. SHANK: You still do that?

6 MS. EISENMANN: I still can provide
7 those if you want to look them up. I don't --

8 DR. SHANK: Well, on this one, if this
9 is used in what, say, a shampoo --

10 MS. EISENMANN: I don't know --

11 DR. SHANK: -- bath -- we consider
12 rinsing creams rinse-offs, don't we? Would you
13 rub that into your skin, so --

14 DR. BRESLAWEK: I think we're okay with
15 the 30 percent rinse-off, even though there's 40
16 percent usage reported, because there's data to
17 support the 30 percent rinse-off.

18 DR. MARKS: Right.

19 DR. SHANK: Skin sensitization?

20 DR. MARKS: Yes, that was in the memo,
21 Ron.

22 DR. SHANK: That was in Wave 2?

1 DR. MARKS: That's actually in the memo
2 of Christine, so if you look at the memo, it's in
3 the third paragraph.

4 DR. SHANK: Okay.

5 DR. MARKS: Since the announcement, we
6 received HRIPT data on SLG in products at
7 concentration of 22 percent and 30 percent, then
8 it was tested at 1 and 10 percent dilutions, which
9 presumably would be similar to how it's actually
10 being used.

11 DR. SHANK: We know that.

12 DR. MARKS: Yeah.

13 DR. SHANK: That the 1 and 10 percent
14 dilutions are how this is used, or do we --

15 MS. EISENMANN: I don't know for sure,
16 what I know is rinse-off products, when you do an
17 HRIPT, they usually test the dilution of some
18 sort, they don't ever test the full strength,
19 because it's not used in a manner that would
20 require that.

21 DR. MARKS: Plus, full strength would be
22 irritating in and of itself.

1 MS. EISENMANN: Right, that's probably
2 the reason for the irritation. So, but I have to
3 look back and see what the -- because Reference 59
4 and 60, let's see what it says.

5 DR. MARKS: We could certainly move
6 forward with a tentative report with that limit.
7 And I have no problem -- the others that we don't
8 have the data, presumably, it's going to be used
9 similar concentration and uses as in the report.
10 So what do you think, should we move forward with
11 a tentative report and safe when formulated to be
12 nonirritating, and then we put a limit on the
13 sodium lauroyl glutamate, with the data we have
14 now from the max and the HRIPT, 2.5 percent max,
15 maximum concentration on leave ons and 30 percent
16 in rinse-off.

17 DR. HILL: Because the disodium stearyl
18 glutamate goes up to 6 in leave ons, according to
19 this table.

20 DR. MARKS: Yeah. If we go to the, if
21 we go back to the parent of Lauroyl Lysine, it's
22 used 50 percent with non sensitizing in a max

1 test, it's really a high concentration there.

2 DR. HILL: But, again, I say as soon as
3 you move -- I mean, I'm not going read-across
4 lysine to any of the others except maybe Arginine
5 anyway.

6 DR. MARKS: Yeah.

7 DR. HILL: But as soon as you switch
8 from lysine substituted at the terminal, the
9 epsilon nitrogen as opposed to the alpha, then
10 structurally, that's quite different. I'm not
11 reading across from that lysine data to any of the
12 rest of them on that basis.

13 DR. MARKS: Okay. How do you, do you
14 want to move forward with a safe, and then the
15 limits on the SLG? Ron?

16 DR. SHANK: Well, haven't we been using
17 the Lauroyl Lysine to read-across for all of
18 these?

19 DR. MARKS: Uh-huh.

20 DR. SHANK: Well --

21 DR. MARKS: I think, actually, at the
22 last meeting, the sodium lauroyl glutamate was

1 chosen to read-across for the other.

2 MS. EISENMANN: You also have some data
3 on the sodium lauroyl silk amino acids, 20 percent
4 is the highest, it's in LLNA. And silk is, I keep
5 forgetting, primarily glycine, serine, abilene and
6 threonine.

7 DR. HILL: All we have on that is the
8 LLNA.

9 MS. EISENMANN: I'd have to look through
10 it, I think they provide a little bit more data on
11 that, too, but --

12 DR. HILL: That was in Wave 2 that we
13 just --

14 MS. EISENMANN: No, no, it's been in the
15 report, the silk.

16 DR. HILL: Okay.

17 DR. MARKS: Do you know what page that
18 is?

19 MS. EISENMANN: Well, the Table 9 has
20 the dermal, all the dermal sensitization data that
21 was in the original report.

22 DR. MARKS: And that's page, Table 9?

1 MS. EISENMANN: I don't have a PDF file,
2 it's page 33 of the report.

3 DR. HILL: So that is PDF page 58, maybe
4 -- page what? 33, you said?

5 MS. EISENMANN: Yes.

6 DR. HILL: It's page 62 of the report,
7 ish. Sodium lauroyl silk --

8 DR. MARKS: Which Table did you say?
9 I'm at 5A and I'm on 57.

10 DR. SHANK: The sensitization studies.

11 DR. HILL: Table 9.

12 DR. SHANK: Table 9 on PDF 62.

13 DR. MARKS: 62, okay, I just found that.
14 Not only did I note that, I had those highlighted,
15 but I think it was just because of the LLNA.

16 DR. SHANK: I'm still confused by this
17 dilution business, it says a facial cream
18 containing 30 percent ingredient, and it was
19 tested at a 10 percent dilution. What's applied
20 to the skin, the facial cream or the facial cream
21 diluted 10 times?

22 DR. MARKS: I think if we set a limit

1 for leave ons, and it was 2.5 percent. If this is
2 diluted down, and they're actually testing with 3
3 percent, essentially, a 10 percent dilution of 30
4 percent test material, I think it covers that, if
5 this cream is meant to be a leave on. It seems
6 like it should be a leave on, but it is --

7 MS. EISENMANN: It's a cleansing cream
8 rather than just a cream that needs, that should
9 be corrected in the Table. The title of it says
10 cleansing cream, so it's --

11 DR. MARKS: Okay. So, in the Table,
12 it's a facial cleansing --

13 MS. EISENMANN: It's not left on for
14 very long, and that's why don't do a, it would
15 become irritating if they leave it on.

16 DR. HILL: So what we --

17 DR. MARKS: We have --

18 DR. HILL: -- sorry.

19 DR. MARKS: So we have the cocoyl
20 glutamate, which is non sensitizing --

21 DR. HILL: What were the primary amino
22 acids in silk that you listed? You said serine,

1 thaline, because we don't have that anywhere in
2 here.

3 MS. EISENMANN: Glycine, threonine --

4 DR. HILL: Glycine, serine, thaline and
5 threonine?

6 MS. EISENMANN: Yes.

7 DR. HILL: Okay. There's one LLNA.

8 DR. MARKS: And there are a lot of
9 HRIPTs.

10 DR. HILL: But at high concentrations.
11 Yeah, but they're all acetyl and then the rest are
12 glutamate.

13 DR. MARKS: Uh-huh.

14 DR. HILL: And I don't buy any of the
15 acetyl data for read-across, that's my point. You
16 can't make me and you can't convince me.

17 DR. BERGFELD: I'm having trouble
18 finding out why you have concentration limitations
19 when you could use as in use, because of your
20 sensitizing testing.

21 COURT REPORTER: Dr. Bergfeld, could you
22 keep your voice up because of the jack hammering?

1 DR. BERGFELD: Okay. I just said I'm
2 having trouble trying to establish a limiting
3 restricting concentration rather than just
4 concentrations of use.

5 DR. MARKS: Because the highest
6 concentration reported an SLG, that's the real,
7 when we're getting the specific ingredients.
8 We'll get back to read-across in a minute, but the
9 highest use concentration is 40 percent, and we
10 have a max at 2.5 percent, so the leave on,
11 that's, the concentration is higher than the use
12 -- the use concentration are higher than either
13 the max or the HRIPT that we have up to 30
14 percent, presumably when they're diluted. It's
15 what would be the typical exposure diluted, so
16 that's why I think we set limits on that
17 particular ingredient.

18 DR. HILL: Meanwhile, I remembered why I
19 didn't like the LLNA, because we had that extended
20 discussion of the AP paper where, if something was
21 tested at less than 80 percent purity in the LLNA.
22 We had questioned whether that was valid,

1 although, then, there were lots of provisos. So
2 this is 20 percent of, 25, 50 or 100 percent
3 solution of butanone in a mouse.

4 DR. MARKS: Don brought up that
5 objection.

6 DR. HILL: I know.

7 DR. MARKS: And that because in a
8 botanical.

9 DR. HILL: I know.

10 DR. BERGFELD: Mixture.

11 DR. HILL: But this is a mixture, too,
12 silk amino acids nacylated.

13 DR. MARKS: So shall we, Ron Hill, I'll
14 ask you tomorrow to bring up that discussant
15 point, which is fine. Ron.

16 DR. HILL: Who's making a motion on
17 this, is it them or us?

18 DR. MARKS: I think I am.

19 DR. HILL: Okay.

20 DR. MARKS: Not I think, I am.

21 DR. HILL: Maybe you could let them do
22 the discussion first.

1 DR. MARKS: No, no (laughter). No,
2 that's not the way --

3 DR. HILL: So that I don't have to say
4 anything if they have a chance to come up with the
5 same issues that I have.

6 DR. MARKS: We have to have a motion,
7 and motion is presumably going to be issue a
8 tentative report. The question is, what is the
9 conclusion of that tentative report going to be?
10 I don't think there's any question that the sodium
11 -- I mean, it's kind of interesting, if you don't
12 do read-across, you really are left with sodium
13 lauroyl -- I mean, the Lauroyl Lysine is safe, and
14 then limit -- so how do you want to proceed, Ron
15 Shank or Tom? Make that motion along with the
16 limits, and then we'll see how the discussion
17 evolves from there?

18 DR. SHANK: Well, if I remember
19 correctly, I didn't see anything to suggest
20 sensitization anyway in the report.

21 DR. MARKS: Right.

22 DR. SHANK: So I am troubled because I

1 did use the lysine compound as the read-across.

2 DR. MARKS: Uh-huh. I think it may have
3 been, I'd have to go back and look at the minutes,
4 it was Don's team and Dan Liebler who wanted to
5 use the SLG as another read-across.

6 DR. SLAGA: Read-across, and that's why
7 those two were picked.

8 DR. MARKS: Right. But the problem with
9 the second one is, I think if you use that logic
10 and you set a limit for SLG, then does that mean
11 everything, if that's another one, what do you
12 read-across with that? Well, shall we just make
13 it safe and then we'll see how it evolves
14 tomorrow, that would be very interesting.

15 DR. HILL: I'm on board with those two
16 ingredients, that's perfectly fine.

17 DR. SLAGA: I would make that motion.

18 DR. MARKS: I have a little problem with
19 safe for both, because the 2.5 percent on leave
20 ons we know is safe, but its use is up to 4
21 percent, and we know 30 percent on rinse-offs is
22 safe, and it's used up to 40 percent on rinse-off.

1 So I'd still want to set limits for those two,
2 what do you --

3 DR. SHANK: Well, we have, in Table 9,
4 we have sodium lauroyl glutamate tested at 5
5 percent active matter, 5 percent, by this end
6 chamber in humans.

7 DR. MARKS: Yeah. Which page are you
8 on, Ron?

9 DR. SHANK: PDF62.

10 DR. MARKS: 62, okay.

11 DR. SHANK: Table 9.

12 DR. MARKS: Table 9, so at the bottom, 5
13 percent active matter, that's just with 20
14 volunteers. Oh, yeah, so that, to me, that's just
15 -- no, that's just a patch test, a single, that's
16 not really an HRIPT.

17 DR. SHANK: So it's not a
18 sensitization --

19 DR. MARKS: No, to me --

20 DR. SHANK: -- it shouldn't be here.

21 DR. MARKS: -- that's more of a
22 diagnostic and more, non sensitizing,

1 nonirritating, but it doesn't help me in terms of
2 sensitization. That's why --

3 DR. SHANK: How can you say it's not
4 sensitizing if it's just a single application?

5 DR. MARKS: Carol, can you help with
6 that, because I didn't -- they had a thing in
7 HRIPT. I guess you could say it was non
8 sensitizing in that particular instance, but it's
9 not significant for me to --

10 DR. SHANK: Not a valid test for it.

11 DR. MARKS: Yeah.

12 MS. EISENMANN: My guess is it shouldn't
13 be in this table, it should be on the irritation
14 table, right?

15 DR. SHANK: Okay.

16 DR. MARKS: Yep. So, going back to what
17 am I going to move tomorrow --

18 DR. SLAGA: Set the limits.

19 DR. MARKS: Set the limits. So safe
20 other than a limit for SLG of 2.5 percent, and
21 then we'll see how it evolves, how does that
22 sound?

1 MS. EISENMANN: Now, what other
2 compounds would be appropriate for reading across
3 to -- you know, I asked for data on those two
4 compounds. Well, maybe there's data on another
5 compound I haven't asked for yet, is there another
6 compound that would be better for reading across
7 for the rest of these than what you've got data
8 on?

9 DR. SHANK: Okay. What are the highest
10 uses, I'll have to find that.

11 DR. HILL: In terms of concentration or
12 in terms of number of uses?

13 DR. SHANK: Number of uses. Sodium
14 stearoyl glutamate has 120 uses.

15 DR. MARKS: What page is that?

16 DR. SHANK: 57.

17 DR. MARKS: 57, okay.

18 DR. HILL: So other than getting higher
19 concentrations, I'm good with glutamate. I have
20 difficulties reading across a number of these
21 other amino acids based on either glutamate or
22 lysine data, that's the point.

1 DR. BERGFELD: I thought you took out
2 the lysine ingredient.

3 DR. HILL: Lysine's there, that's --

4 DR. BERGFELD: I thought you said
5 because of the --

6 DR. SHANK: You said that --

7 DR. HILL: I just said I wouldn't use it
8 for read-across because the amide is on the other
9 nitrogen, so it's not an alpha amido acid like the
10 rest of them are.

11 DR. MARKS: Yeah, there's a number, I
12 have sodium stearoyl glutamate is 120 uses TEA,
13 cocoyl glutamate 65, so there's a fair number. I
14 think the Lauroyl Lysine, it had 649, so that
15 stood out as just having lots of uses.

16 DR. HILL: I think that was part of the
17 reason why those two were selected is there were
18 just tons of uses.

19 DR. MARKS: Right.

20 DR. SHANK: And the other high uses are
21 glutamates, too. I think we can use sodium
22 lauroyl glutamate as a read-across, but then you,

1 Ron, you said the acetyls are a whole other can
2 of --

3 DR. HILL: No, I'm not really worried
4 about those --

5 DR. SHANK: Okay.

6 DR. HILL: Because those could be
7 hydrolyzed, I think even in skin.

8 DR. SHANK: Okay. So then I would say
9 the sodium Lauroyl Lysine is safe as used, and the
10 sodium lauroyl glutamate is safe up to --

11 DR. MARKS: The 2.5 --

12 DR. SHANK: 2.5 percent --

13 DR. MARKS: -- leave on --

14 DR. SHANK: -- leave on --

15 DR. MARKS: -- and 30 percent

16 rinse-off, the data we have. It should be an
17 interesting discussion. Any other comments,
18 Halyna, Carol? Okay. So that's what I'll move to
19 tomorrow is that, and then you like the non
20 irritating, correct, with these? I think, are
21 these, a lot of these are subtract and serve
22 diluted, so we need to have the non irritating.

1 Okay.

2 DR. BERGFELD: But do you need it in the
3 conclusion?

4 DR. MARKS: I think so, formulate to be
5 non irritating. Any other comments? Okay. Next
6 are the alkyl betaines. There's a draft report,
7 and, of course, this is going to -- so this is the
8 first review, 11 ingredients, I'm sure we'll go
9 back to the betaine as 459 uses, so a lot of uses.
10 The lauroyl betaine has 338. Rons, Tom, the 11
11 ingredients okay, and then we need, what are our
12 needs to move forward?

13 DR. SHANK: Well, I have for needs, we
14 need HRIPT sensitization data on one of the alkyl
15 betaines, lauroyl betaine is used in mud packs at
16 0.06 percent, and then there are several alkyl
17 betaines that are used in cleansing preparations
18 at 8 or higher percent.

19 DR. MARKS: So you wouldn't take the
20 betaine alone with the HRIPT and say that can be
21 read-across with the others?

22 DR. SHANK: Correct. And the other need

1 I had was more data on the reproductive
2 development toxicity studies on page 12 PDF. It
3 says there were toxic effects in the fetuses and
4 newborns, but I don't know what they are. I think
5 that's important. I have to find page 12.

6 DR. MARKS: And let's go -- so, Ron, let
7 me just be sure I have this right. So you want
8 sensitization --

9 DR. SHANK: Well, that's me, yeah.

10 DR. MARKS: Yeah, that's okay. I've got
11 sensitization data --

12 DR. SHANK: On at least one of the alkyl
13 betaines.

14 DR. MARKS: Alkyl betaines. And then
15 two was the reproductive developmental or just
16 re --

17 DR. SHANK: Yeah, on page 12, at the
18 very top, you get a little bit on the bottom --
19 well, actually, at the bottom of page 11, it says
20 there were fetal malformations that weren't
21 significant from the controls, that's fine. Some
22 bone, skeletal changes.

1 MR. HELDRETH: I'd also like to remind
2 of the extra data that Christina submitted today,
3 there is a dermal sensitization there on the last
4 page for lauroyl betaine, there may be some other
5 ones there.

6 DR. SLAGA: I thought we can't use this
7 to put it in the report, but you looked at it,
8 right?

9 DR. MARKS: Well, it will go on a report
10 in the next edition of the report, right?

11 DR. BERGFELD: You mean the European --

12 DR. SLAGA: Yeah.

13 DR. MARKS: Pardon? I'm sorry, Tom,
14 repeat?

15 MR. HELDRETH: Legal actually cleared us
16 to use this data. We have the summaries here, we
17 don't actually have what the consortium has for
18 the actual report, but we can use this.

19 DR. SLAGA: You can?

20 MR. HELDRETH: You can.

21 DR. HILL: That means that we have to
22 digest it.

1 DR. MARKS: Let's take a couple minutes
2 and look over this, because I really didn't. So,
3 Ron --

4 DR. SHANK: I'm still looking.

5 DR. MARKS: Bart, it's this page to your
6 -- well, we'll come back to the sensitization.

7 (Pause)

8 DR. MARKS: Ron, are you --

9 DR. SHANK: Okay, I found it, I had them
10 marked in the wrong place, it's PDF page 11, the
11 top. There's a dermal developmental toxicity
12 study in rabbit, and I guess this was used as a
13 dose finding range study, and it says near the
14 bottom of the first paragraph that there were
15 changes in the fetuses and newborns, but it
16 doesn't say what they are. And this was used as a
17 basis for an oral study in the rat, presumably,
18 and I was wondering if the authors described the
19 effects in fetuses or not, and I didn't have
20 access to the journal for some reason. So if
21 there's more information there, we should get
22 that. And that was a dermal study, the rest of

1 the studies are oral, and they showed no problem,
2 so, to me, that's confusing.

3 DR. MARKS: What study is that, can I --

4 DR. SHANK: Okay, yes.

5 DR. MARKS: Who is the lead author, I
6 guess?

7 DR. SHANK: I have to find the
8 references, now.

9 DR. BRESLAWEK: What page is that?

10 DR. MARKS: Page 11, Halyna. Because
11 that, in the ECHA website, this summary, here,
12 Bart, you mentioned there's a number of repro and
13 developmental toxicity studies on this, so
14 obviously, do you -- and then there's actually --
15 Ron, you probably didn't get to look at this, I
16 just pointed out there are a number of alkyl
17 betaines that were tested in animals -- right.
18 And then, as Bart mentioned, this one with lauroyl
19 in an HRIPT. Small number of volunteers but
20 everything would indicate it's not sensitizing, so
21 I think we could probably eliminate that
22 insufficient data.

1 DR. SHANK: Okay. The reproductive
2 toxicity studies are EPA reports, and I couldn't
3 get ahold of those.

4 DR. MARKS: Okay. So that's an EPA
5 report.

6 DR. SHANK: And then you say we, the
7 sensitization data we have now?

8 DR. MARKS: Yes. If you look on, it
9 would be the third sheet, third page at the top,
10 you'll see betaine C12 to 14, or three studies
11 there in Guinea pigs, and they were all not
12 sensitizing. And then we comb to cocoyl
13 betaine --

14 DR. SHANK: Right here.

15 DR. MARKS: -- that's non sensitizing.
16 And, as Bart mentioned earlier, the lauroyl
17 betaine in HRIPT was non sensitizing, so I think
18 we can eliminate that.

19 DR. SHANK: Okay, fine. I didn't know
20 it could --

21 DR. MARKS: Yeah, neither did I, I
22 hadn't looked at that, either.

1 DR. SHANK: Okay, good.

2 DR. MARKS: So, do we want to -- I'm not
3 sure it really would be an insufficient data
4 notice, because we're trying to clarify the
5 reproductive -- Tom, were you able to look through
6 this? And, Ron, obviously, you haven't, how
7 should we handle this? Should we take another
8 five minutes and kind of scan these, or do you
9 want to do that overnight, and then tomorrow in
10 the discussion?

11 DR. SLAGA: I think we need to study it.

12 DR. MARKS: Okay.

13 DR. HILL: Can we at least talk about
14 why we've got betaine lumped with all the rest of
15 these? That was raised by somebody in industry,
16 and I totally agreed with them. I had that in my
17 reading it when I encountered that memo, I think
18 this is the one. Yeah, it's page 58 right at the
19 bottom in the memo from Halyna to, it was actually
20 Dr. Anderson. Actually, it just says please
21 include a rationale as to why it is appropriate to
22 review these ingredients in one report. The only

1 outlier seems to be betaine itself, I don't know
2 why it's in the same report.

3 DR. BRESLAWEK: I think we could live
4 with it in the same report, it's the question of
5 to what extent you can read across between it.

6 DR. HILL: None, zero, zilch, none. So
7 without this data that came from the ECHA, I was
8 ready to say you don't have anything. That, we've
9 got plenty of information to conclude, I just --

10 DR. MARKS: So, Ron, you're going back,
11 Ron Hill, you're going back to my original
12 question, here, the 11 ingredients, okay.

13 DR. HILL: Yes. If you asked that, I
14 missed it, because I was on a tangent right at
15 that moment.

16 DR. MARKS: So we're going to look over
17 this. Rons and Tom, I had questionable, do we
18 need more robust manufacturer and impurities.

19 DR. HILL: I have that as a need.

20 DR. MARKS: Okay. Sufficient --

21 DR. HILL: Method of manufacture, not
22 impurities.

1 DR. MARKS: Method of -- well, I had a
2 question with impurities. Is DMAP or amino amine
3 found in these?

4 DR. HILL: No. At least I can't see how
5 that would arise.

6 DR. MARKS: Okay, so that shouldn't be
7 an issue. And the manufacturing of these DMAP or
8 amino amine is not used in the manufacture or
9 these betaines? Because that was the problem with
10 cocamidopropyl betaine was these were used in the
11 manufacture or it could be residual.

12 DR. HILL: If the methylation were to be
13 done at the end, and doubt that's the way it's
14 done, because I suspect it's reaction of
15 dimethylglycine with an alkylating agent that has
16 the long chain on it. I wouldn't say I'd bet my
17 life on it, but I'd come somewhere into that. So
18 the only issue is, if there's dimethylglycine in
19 there, which I highly doubt for these lipophilic
20 ones, which is, again, another why we have betaine
21 lumped with these surfactants. And do we have any
22 concern about making sure it's all out so we don't

1 rush it, although we do --

2 DR. HILL: Okay, fair enough.

3 DR. MARKS: -- certainly have the time
4 now, but I got the sense from Tom that he would
5 like to spend more time to look over these.

6 DR. HILL: I have written composition,
7 here, but I have no idea why, but I suspect it was
8 related to some of the plant source fatty acids,
9 but I'm not all that fired up consumed about it.
10 I think I was, what's in hydrogenated tallow and
11 tallow, I think that was it, and coco, but that's
12 kind of, I don't think I have to have that.

13 DR. MARKS: Okay. So, so I'm going to
14 be the one who is making a motion tomorrow, this
15 gives us plenty of time, actually, if this turns
16 out to be the way we go. At this point, our team
17 and Ron, Ron and Tom, does this sound reasonable,
18 an insufficient data notice, and what we would
19 like is method of manufacture. And then, two,
20 clarification of the reproductive and the
21 development issue on page 11, the EPA report. And
22 then, after reviewing these ECHA sheets, we'll add

1 anything more to that. Does that sound
2 reasonable?

3 DR. SHANK: Yes.

4 DR. HILL: And, on the composition, I
5 think the reason I didn't, in terms of moving
6 forward, I didn't have any issue is because we
7 have that information from, I think it's the
8 vegetable orals report for those; it's coconut
9 oil, hydrogenated tallow, and tallow. Maybe we
10 could just capture that data and roll it over.

11 DR. MARKS: Okay. Any other comments?

12 DR. BERGFELD: Do you think you want, in
13 your discussion, to make a declaration of the
14 betaine versus the surfactants?

15 DR. HILL: I still think we should
16 separate report.

17 DR. BERGFELD: Well, you haven't got
18 anything in here about it.

19 DR. HILL: The betaine --

20 DR. BERGFELD: Well, you have, you don't
21 discuss the lipophilic portion versus the
22 surfactant portion of this group anywhere in here,

1 I just went back to read it. You discuss each one
2 individually, but you're talking about studies,
3 absorption, enhancement, penetration. You don't
4 discuss that they may act differently. I would
5 this is the place to put it.

6 DR. HILL: In which place are you
7 think --

8 DR. BERGFELD: In discussion.

9 DR. HILL: In discussion?

10 DR. BERGFELD: Maybe in chemistry.

11 DR. HILL: Yeah, up in chemistry, I
12 think there's, I thought there was enough, but
13 maybe I need to look at that. I'll look at it
14 again.

15 DR. BERGFELD: Well, you feel very
16 strongly about it, you mentioned it here --

17 DR. HILL: Just, no, what I felt
18 strongly about was that we didn't need to have
19 betaine itself in with these, the rest of them are
20 all long chain behaving as surfactants, whereas
21 betaine would not. Betaine is small, water
22 soluble, very different from the other substances,

1 that's what I was driving at. I would have liked
2 to have seen that in a separate report all its
3 own.

4 DR. MARKS: Okay.

5 DR. HILL: Because I think it just
6 obfuscates when you mix together things that are
7 so different and put all that data in one report.
8 Because there's no reason to read-across, no basis
9 for using that as read-across.

10 DR. MARKS: Okay. Any other comments?
11 Wilma, any? Okay. So, tomorrow, I will move that
12 we issue an insufficient data notice. One, we
13 need the method of manufacture, two, we want to
14 clarify that reproductive and the development
15 issue from an EPA report document on page 11 of
16 this draft safety assessment. And then we'll
17 also, I'd huddle with the team before the meeting
18 and ask about the review of this ECHA and whether
19 that, if there brings anything else of concern.
20 Or if you want to spend, do you want -- Tom, I
21 just got the sense that five minutes isn't an
22 adequate amount of time. I mean, weÂ could do --

1 DR. SLAGA: Well, we can go over it in
2 the morning before we start.

3 DR. MARKS: Okay. Sounds good. Because
4 it will come out, obviously, in the discussion
5 tomorrow if there are any concerns, I just don't
6 want to do this too quickly.

7 DR. SLAGA: It says in our thing that we
8 can't use this without permission, and we do have
9 permission now?

10 DR. MARKS: Yes, that's what Bart said,
11 we just can't --

12 DR. BERGFELD: We can use the summaries,
13 we can't use the specifics.

14 DR. SLAGA: You can use the summary.

15 MR. HELDRETH: You can use what's in
16 front of you, you don't even have access to the
17 full document.

18 DR. MARKS: So if there are concerns
19 raised, then we have -- okay. We'll deal with
20 that, then, tomorrow morning. And the next one is
21 not going to be any easier. This is the last, is
22 this the last one, are we.

1 DR. SHANK: It is.

2 DR. MARKS: Okay. The hydrolyzed wheat
3 protein -- where is that on here, is that under
4 hydrolyzed -- yeah, here we go. So, at the March
5 2013 meeting, we tabled it? The discussion of
6 these, we wanted more information concerning the
7 reports, particularly from Japan of Type 1
8 allergic reactions. These would be
9 anaphylactictype reactions to the hydrolyzed wheat
10 protein in soap products, so we issued an
11 insufficient data announcement on: One; method of
12 manufacturing data for the hydrolyzed wheat
13 protein; and, two, composition and
14 characterization. Also from the minutes, we
15 decided to split out wheat from other plant
16 proteins such as soy or silk, so it looks like
17 we're going to proceed with doing the plant
18 proteins individually. So, in this report, on the
19 hydrolyzed wheat protein and the hydrolyzed wheat
20 gluten, Rons and Tom, what are the needs? My
21 first question is what's the difference between
22 wheat protein and wheat gluten? Was that

1 mentioned in this report?

2 DR. BERGFELD: Somewhere, I think.

3 DR. MARKS: I didn't get a good sense.

4 And why --

5 DR. SHANK: Wheat gluten would be a
6 protein, and wheat proteins would be a mixture of
7 proteins.

8 DR. MARKS: Right. So is wheat gluten
9 one protein.

10 DR. SHANK: That's how I read it.

11 DR. MARKS: Okay. So, Tom, Rons, needs
12 at that point? The manufacture still, the
13 composition? Method and manufacture, the
14 composition?

15 MS. EISENMANN: There is some
16 information on, in Table 2. That's what I presume
17 is the ingredient that the industry would like you
18 to assess, the information in Table 2, plus
19 there's information on what the protein,
20 hydrolyzed protein that was causing problems in
21 Japan, with a 40 to 50 kD protein produced by acid
22 hydrolysis over a certain length of time. So you

1 have that information on a bad actor, and then you
2 have the information on the protein from certain
3 suppliers. I think you should assess the safety
4 of the protein that's listed in Table 2.

5 DR. SLAGA: So we don't need anything.

6 DR. MARKS: Table 2, what page is that?

7 MS. BURNETT: Page 30.

8 DR. MARKS: Pardon?

9 DR. SLAGA: Page 30.

10 DR. BRESLAWEC: So I think the point is
11 that we'd like you to focus on size as opposed to
12 specific product.

13 DR. MARKS: Size. What do you mean by
14 that?

15 DR. BRESLAWEC: The protein.

16 DR. SLAGA: Molecular weight?

17 DR. BRESLAWEC: Molecular --

18 DR. SLAGA: Range?

19 DR. BRESLAWEC: Yes.

20 MS. EISENMANN: Yes.

21 DR. MARKS: So I see, so, Tom and Rons,
22 does Table 1 suffice for manufacturing? Is that

1 what you're saying, Carol?

2 MS. BURNETT: Table 2, it's the Table
3 right under it.

4 DR. MARKS: Table 2. Oh, yeah, here we
5 go. Was that also in written form in the body,
6 did I miss that? Because, normally, we don't,
7 when we read the report, we don't jump to a table
8 and say this is a method of manufacture, there's
9 actual text.

10 DR. HILL: I think there is. I was just
11 there, I jumped down to the tables, method of
12 manufacturing is on -- sorry, thank you -- PDF
13 page 22.

14 DR. MARKS: Okay.

15 DR. HILL: And then you have to, because
16 there's sort of preamble information in the
17 chemistry section, you have to use that together
18 with the method of manufacturing side of the
19 section, and probably Table 2 to get the full
20 picture.

21 DR. MARKS: So this is where you were
22 saying, that's where you got to 40 or 50 kD in the

1 second paragraph of the method and manufacturing.
2 I had that highlighted.

3 DR. HILL: So your suggestion to
4 concentrate --

5 DR. MARKS: Larger than the main band in
6 gluten. So how, that was my conundrum is how do
7 you, there are, what, over 1,000 products that
8 contain wheat protein? It's a large number, or
9 900, whatever it is. How do you make, how do you
10 assure a safe product?

11 MS. EISENMANN: One suggestion is you
12 limit the molecular weight size of the protein
13 that could be used. Exactly what the limit should
14 be, I mean, there is, one suggestion is it has to
15 be greater than 30 kDs to bind to IgE. But I
16 think some of the industry is using a cut off more
17 of 3 kDs.

18 DR. MARKS: Three?

19 MS. EISENMANN: Three.

20 DR. BRESLAWEC: So anything below 3 is
21 safe?

22 MS. EISENMANN: Right.

1 DR. HILL: So the real question is, they
2 started with, does one come from gluten. It
3 doesn't say that -- yes --

4 DR. MARKS: Yeah.

5 DR. HILL: -- from gluten by partial
6 hydrolysis, means you shouldn't have anything in
7 there in the first place bigger than gluten,
8 assuming that whatever that was that was larger
9 than gluten and went through the partial
10 hydrolysis, it's hard to imagine why that wouldn't
11 have been -- so, then, it's almost like it might
12 have been a contaminative microbial growth after
13 the fact, after it was produced, I don't know.
14 The real issue is how did it get in there. It's
15 unlikely to have been something that survived the
16 hydrolysis process, to my way of thinking. I
17 don't know what protein would survive the
18 hydrolysis that they're using for gluten and still
19 end up with a 50, 40 to 50 kD molecular size --
20 molecular weight, excuse me.

21 MS. EISENMANN: I don't --

22 DR. HILL: I know you don't know, I

1 can't even conjecture, but I'm thinking the
2 microbial growth happened after manufacture and
3 then it somehow got -- I mean, it's just a guess,
4 this is something that wouldn't normally appear in
5 any of these. An anomaly.

6 DR. MARKS: Carol, where is the data
7 that support the idea that about 3 kDs, that this
8 is the protein, this is the molecular weight of
9 the presumed allergen, or is that just a
10 theoretical, if it's above 3 kDs, it doesn't bind
11 IgE? Because I don't, there was a similar issue
12 with natural rubber protein, and when the natural
13 rubber protein gloves were manufactured, there was
14 a limit of, like, 230, I think, and once that
15 limit was in place, the Type 1 reactions to
16 natural rubber lay text gloves disappeared, we
17 just don't see it anymore. So that's where I was
18 hoping there would be something, like you say, set
19 a limit, I just couldn't find anything to help me
20 in arriving at that.

21 MS. EISENMANN: The discussion is in the
22 report under Type 1 hypersensitivity.

1 DR. MARKS: Yeah, it's on --

2 MS. BURNETT: Page 25 of the PDF,
3 there's --

4 DR. MARKS: 25.

5 MS. BURNETT: -- about midway, there's
6 a few paragraphs about --

7 DR. MARKS: Yeah, the most IgE epitopes
8 in UWP -- what's the U stand for? The WP is whip.
9 Wheat protein. There's a U on that page.

10 MS. BURNETT: Unmodified wheat protein.

11 DR. MARKS: And then what's the H again?

12 DR. HILL: Hydrolyzed wheat protein.

13 DR. MARKS: Hydrolyzed.

14 MS. BURNETT: Starting with the
15 paragraph that says binding patterns of serum IgE.

16 DR. MARKS: I'm looking at the one,
17 overall, the authors concluded binding pattern.
18 So the one above that. So, in no cases, did the
19 IgE bind to the hydrolyzed wheat protein less than
20 30 kDs, I see what you're saying.

21 MS. BURNETT: And then the paragraph
22 starting with, in a Japanese study, which is below

1 that, the last sentence, there arises the size.

2 DR. MARKS: So you would suggest put the
3 limit of hydrolyzed protein polypeptides less,
4 should be greater than 30 kDs.

5 MS. BURNETT: Less.

6 DR. SHANK: Less.

7 MS. EISENMANN: Less. Or you might
8 choose another one, the 3. I mean, 3, I think,
9 is --

10 DR. BRESLAWEC: It's the one that
11 your --

12 MS. EISENMANN: Right, the Japanese
13 study, that's the one they were doing.

14 DR. MARKS: Where did the 3 come from?
15 You're doing a margin of safety times 10?
16 Onetenth of that is 3.

17 MS. EISENMANN: It's 3 kD.

18 DR. BRESLAWEC: 3,000 Daltons, 3 kDs.

19 DR. HILL: So if you read, if you're on
20 page 25 of the PDF, and you ignore that very last
21 three lines, just above that talks about, it's
22 theorized that limiting the size of the proteins

1 or polypeptides. And what comes before that is,
2 they're hypothesizing, probably with some
3 evidence, that they're getting higher molecular
4 weight aggregates from smaller molecular weight
5 fragments by things like disulfide coupling, which
6 is certainly going to happen if you free
7 cystines --

8 DR. MARKS: Do you feel comfortable,
9 Ron, Ron and Tom, that using maybe that, to me,
10 theorized, if I'm at risk for having an
11 anaphylactic reaction -- I'm not sure theorized,
12 that's just a word, but this 3,000 Dalton, 30
13 amino acid -- how difficult is this for industry
14 to, are they going to be looking at their
15 ingredients and say, okay, we're going to have no
16 residuals less than 3,000 Daltons.

17 DR. SLAGA: More.

18 MS. EISENMANN: I think more and more,
19 they are becoming more concerned about it because
20 of this incident in Japan and other incidents like
21 it. But this is an area where the industry would
22 like you to research this. You may need more time

1 to look at, and we can try to find more references
2 for you, and maybe someone to come in and speak on
3 this issue, if you'd like. This is a concern for
4 the industry right now.

5 DR. MARKS: I actually like the idea
6 having an allergist, somebody who is an expert in
7 Type 1 allergy come in and speak to this.

8 DR. SHANK: I would.

9 DR. SLAGA: Yeah, definitely.

10 DR. MARKS: Because I don't feel --

11 DR. SHANK: This is a weak one for me,
12 I'm sorry to say.

13 DR. HILL: Well, and the reality is that
14 the molecular level understanding of this sort of
15 thing has been coming up very rapidly in the last
16 several years on that. It would make a difference
17 in terms of how one -- I mean, reaction is
18 reaction, but it makes a difference in terms of
19 how one makes predictions and interprets data, I
20 think.

21 DR. MARKS: So, with that in mind,
22 should we table this and ask that we have an

1 expert in Type 1 allergy come in and discuss it?
2 And it obviously is going to be an allergist who
3 understands not only the molecular biology of
4 this, somebody that's got a basic science
5 background, just not somebody who sort of comes in
6 who, you know, say, a clinician who doesn't
7 understand, perhaps, the basic mechanism and
8 molecular biology. So, we're at the stage now of
9 having a tentative safety assessment of hydrolyzed
10 wheat protein and hydrolyzed wheat gluten. One
11 option would be to table it and ask that an expert
12 come in and address this, does that sound like a
13 reasonable way to proceed? Let's see, who's --
14 tomorrow, it will be, where is it, hydrolyzed
15 wheat protein -- it's Belsito, but that doesn't
16 matter, we can (laughter) -- so, do you like that
17 idea of tabling?

18 DR. SLAGA: Table.

19 DR. SHANK: I do. This is an important
20 issue.

21 DR. MARKS: Yeah, absolutely. I'm
22 wondering --

1 DR. SHANK: I think we need to fully
2 understand what's going on, here.

3 DR. BERGFELD: Do you think that the
4 literature has been searched deeply enough on this
5 subject? Because if there's an expert, he's
6 certainly written on it, and we should probably
7 see that, as well. He or she, excuse me.

8 DR. HILL: You've got a pretty good
9 series of references, here, but yes.

10 DR. MARKS: Okay. So I'm going to
11 suggest tomorrow we table it, because I have, I
12 don't feel -- I'm amazed that there's a thousand
13 uses, and it hasn't been seen. You had suggested
14 that it's possibly some manufacturing process in
15 Japan that resulted in this, and that's the same
16 with the -- I mean, it's reproducible in terms of
17 the natural rubber latex in gloves when we had
18 them manufactured in the U.S., we didn't see the
19 problem. Once the HIV epidemic occurred and the
20 demand for gloves outstripped what we could
21 manufacture in the U.S., it was Pacific Rim, they
22 weren't rinsed properly, they weren't processed,

1 and then we had a lot of residual natural rubber
2 protein, and, bammo, then we had the contact
3 urticaria and Type 1 reactions to gloves. So I
4 like the idea of getting an expert in, because,
5 you know, a great majority, it's not an issue with
6 a thousand uses, but we need to understand, in my
7 mind, better what guidance we need to give to
8 industry of how to proceed with this.

9 DR. SHANK: I agree.

10 DR. BERGFELD: Does industry have a cap
11 on it currently of 3 kDs?

12 MS. EISENMANN: Each company has
13 different caps.

14 DR. BERGFELD: I mean, but what is the
15 highest?

16 MS. EISENMANN: I don't know that
17 answer, but it's variable.

18 DR. BRESLAWEC: Probably between 3 to
19 30.

20 MS. EISENMANN: Well, I know one goes
21 down to 2.5, too.

22 DR. MARKS: Yes.

1 MR. HELDRETH: Just so this isn't
2 something that goes off into table land
3 indefinitely, should we set a time frame on when
4 we expect to have this back in front of you?

5 DR. MARKS: Yeah, my feeling would be
6 is, if we had the expert at the beginning of the
7 next meeting, we could then have it on the agenda
8 in either the next meeting or the following
9 meeting, then we could proceed with a tentative
10 safety assessment, with the recommendations in
11 terms of dealing with a Type 1. So I should think
12 within one or two meetings, we should be able to
13 do it, I guess, but it depends on the availability
14 of the expert.

15 DR. BRESLAWEK: Well, we will certainly
16 search an expert out and recommend for CIR to make
17 a decision on which expert they'd like to speak.
18 But my understanding is, when you table something,
19 you don't rewrite the report, you don't redraft
20 the report, it is as stands.

21 DR. MARKS: Right.

22 DR. BRESLAWEK: We happen to think that

1 the discussion of the Type 1 allergy is pretty
2 thorough, so we just work from this document and
3 then consider the expertise of the speaker, and
4 then see if you want to amend it at that point.

5 MS. EISENMANN: Do you have any
6 suggestions for speakers? I mean, we would be
7 also interested, you don't have to say now, but if
8 you have, we would always be interested in your
9 suggestions.

10 DR. SHANK: Okay. I do, but I'll let
11 you know.

12 MS. EISENMANN: Okay.

13 DR. MARKS: Okay. So I'm going to --
14 depending on what the motion is, let's table. It
15 will be easy, I'll second the motion. If it
16 isn't, I'll make that suggestion, and then we'll
17 see where the panel decides to go with this. Any
18 other comments about the hydrolyzed wheat protein
19 and hydrolyzed wheat gluten? Okay, table. This
20 is the end of the ingredients I have on the
21 agenda, is there anything else, Ron, Ron, Tom, we
22 should cover, Rachel, Halyna, Wilma? If not, I

1 think we can adjourn, then, and we'll read over
2 the ECHA, and then we'll huddle in the morning in
3 terms of how to deal with that. Thank you,
4 everyone.

5 (Whereupon, the PROCEEDINGS were
6 adjourned.)

7 * * * * *

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

CERTIFICATE OF NOTARY PUBLIC

DISTRICT OF COLUMBIA

I, Christine Allen, notary public in and for the District of Columbia, do hereby certify that the forgoing PROCEEDING was duly recorded and thereafter reduced to print under my direction; that the witnesses were sworn to tell the truth under penalty of perjury; that said transcript is a true record of the testimony given by witnesses; that I am neither counsel for, related to, nor employed by any of the parties to the action in which this proceeding was called; and, furthermore, that I am not a relative or employee of any attorney or counsel employed by the parties hereto, nor financially or otherwise interested in the outcome of this action.

(Signature and Seal on File)

Notary Public, in and for the District of Columbia

My Commission Expires: January 14, 17

